

DEPARTMENT OF HEALTH AND HUMAN SERVICES

ADVISORY COMMITTEE ON BLOOD SAFETY AND AVAILABILITY

TWENTY-THIRD MEETING

VOLUME I

Wednesday, April 7, 2004

9:05 a.m.

Grand Hyatt Washington
1000 H Street, N.W.
Washington, D.C. 20001

P A R T I C I P A N T S

Mark Skinner, J.D., Acting Chairman

Jerry Holmberg, Ph.D.

Judy Angelbeck

Mark Brecher, M.D.

Edward Gomperts, M.D.

Paul F. Haas, Ph.D.

Christopher Healey, J.D.

William A. Heaton, M.D.

Jeanne Linden, M.D.

Karen Shoos Lipton, J.D.

Lola Lopes, Ph.D.

John Penner, M.D.

Merlyn Sayers, Ph.D.

John Walsh

Wing-Yen Wong, M.D.

Non-voting Government Representatives

James S. Bowman III, M.D.

Jesse Goodman, M.D.

Matthew Kuehnert, M.D.

Harvey Klein, M.D.

Karen Midthun, M.D.

Olga Nelson

LTC Ruth Sylvester

C O N T E N T S

AGENDA ITEM	PAGE
Call to Order and Conflict of Interest - Dr. Jerry Holmberg	4
Committee Updates - Mark Skinner J.D.	10
Action Plan for Implementation of Advisory Committee Recommendations of January 2004	
- Overview - Dr. Jerry Holmberg	10
- Blood Monitoring - Capt. Lawrence McMurtry	14
Committee Discussion/Recommendations	27
Report from CMS	
Overview of MMA - Dr. James Bowman III	35
Questions and Discussion	55
Corrections to the HOPPS Ruling and APC Panel Recommendations - Ms. Cynthia Read	66
Questions and Discussion	73
Public Comment	74
Final Rule: Bar Code Label Requirements for Human Drug Products and Biological Products - Ms. Elizabeth Callaghan	76
Topic: Impact and Assessment of Methods to Reduce the Risk of Bacterial Contamination of Platelet Products	
Review of January 2003 Recommendation - Dr. Jerry Holmberg	89
American Association of Blood Banks Standard for Bacterial Testing of Platelet Products - Dr. Kathleen Sazama, President, AABB	96
College of American Pathologist (CAP) Phase I Requirement on Bacterial Testing of Platelets and Implementation of Methods to Reduce Bacterial Contamination of Platelet Products - James AuBuchon, M.D.	110
Committee Questions and Discussion	--

C O N T E N T S (Continued)

AGENDA ITEM	PAGE
Approved Devices/Procedures to Reduce the Risk of Bacterial Contamination in Platelet Products and Current Thinking on Future Field Study Design - Jaroslav Vostal, M.D., FDA	165
Approved Methods for Reduction of Bacterial Contamination Risk	
BioMerieux - Mr. A.C. Marchionne	205
Pall - Dr. Jerry Ortolano	218
Blood Center Experience	
Florida Blood Services - Mr. Timothy Malone, MT(ASCP)SBB	244
Puget Sound Blood Center - Richard B. Counts, M.D.	274
Hospital Experience	
University of Minnesota Medical School - Robert J. Bowman, M.D.	298
American Hospital Association - Ms. Mary Beth Savary-Taylor	321
Adjournment	328

1 P R O C E E D I N G S

2 DR. HOLMBERG: I'd like to call the
3 Twenty-Third Meeting of the Advisory Committee for
4 Blood Safety and Availability to order.

5 I've asked Ms. Olga Nelson if she would
6 please read the conflict-of-interest statement.

7 MS. NELSON: This was sent to me by the
8 Office of General Counsel. During your
9 appointment, you may not personally and
10 substantially participate, such as recommend,
11 influence, or decide in official committee matters
12 in which you, your spouse, your minor child, your
13 general partner, or an organization which you serve
14 as an officer, director, trustee, general partner,
15 or employee as a financial interest. That's for
16 each committee member.

17 Is that what you had in mind?

18 DR. HOLMBERG: Yes, that's fine. Thanks.

19 Dr. Brecher, would you like to make a
20 statement?

21 DR. BRECHER: Thank you, Jerry.

22 Because this meeting revolves around the

1 question of bacteria contamination of blood,
2 platelets specifically, and because of my position
3 as an expert in this area and the fact that I have
4 received grant funding from virtually every company
5 that is interested in this area or served as an ad
6 hoc consultant to these companies, in order to
7 avoid any perception of conflict, I am going to
8 recuse myself as Chair. Mark Skinner will chair
9 this session, and I'll basically recuse myself from
10 actively participating in this meeting.

11 That said, I do want to say that I have no
12 proprietary interest in any of these products. I
13 have no shares of stock, nor does my family. In
14 fact, the only shares of stock my family have is
15 each of my girls has one share of Disney stock.

16 [Laughter.]

17 DR. BRECHER: However, if there are any
18 specific questions that the committee would like to
19 put to me in my role as an expert in this area, I
20 can answer those questions.

21 Thank you.

22 DR. HOLMBERG: Thank you. We're pleased

1 to have Mark Skinner as our Acting Chair for this
2 meeting, and between Mark on one side and I on the
3 other side, we'll try to make sure that things are
4 guided smoothly, and I'm sure that he will do an
5 extremely competent and great job.

6 I'd like to make a roll call at the
7 present time. Mark Brecher?

8 DR. BRECHER: Present.

9 DR. HOLMBERG: Larry Allen?

10 [No response.]

11 DR. HOLMBERG: Judy Angelbeck?

12 DR. ANGELBECK: Present. And can I just
13 make one other statement, Jerry?

14 DR. HOLMBERG: Yes.

15 DR. ANGELBECK: As we discussed on the
16 phone, I am a conflicted member of the committee
17 since I am an employee of Pall Corporation and will
18 be presenting today.

19 DR. HOLMBERG: Thank you.

20 Celso Bianco cannot make it today. I have
21 received e-mails from Celso, and he is recovering
22 very well. Many of us went through that difficult

1 time with him, and I'm sure that many of you lifted
2 up many prayers for him. He in his e-mail thanked
3 everybody for their concerns and also mentioned
4 that he hopes to be back in play by the end of the
5 month. So we know that Celso will be missed today
6 at this meeting.

7 Ed Gomperts?

8 DR. GOMPERTS: Present.

9 DR. HOLMBERG: Paul Haas?

10 DR. HAAS: Here.

11 DR. HOLMBERG: Christopher Healey?

12 MR. HEALEY: Here.

13 DR. HOLMBERG: William Heaton?

14 DR. HEATON: Here.

15 DR. HOLMBERG: Jeanne Linden?

16 DR. LINDEN: Present.

17 DR. HOLMBERG: Lola Lopes?

18 DR. LOPES: Here.

19 DR. HOLMBERG: Garji Pahuja?

20 [No response.]

21 DR. HOLMBERG: John Penner?

22 [No response.]

1 DR. HOLMBERG: Jerry Sandler?

2 [No response.]

3 DR. HOLMBERG: Merlyn Sayers?

4 DR. SAYERS: here.

5 DR. HOLMBERG: Mark Skinner?

6 MR. SKINNER: Here.

7 DR. HOLMBERG: John Walsh?

8 MR. WALSH: Here.

9 DR. HOLMBERG: Wing-Yen Wong?

10 DR. WONG: Here.

11 DR. HOLMBERG: Karen Lipton?

12 MS. LIPTON: Present. And could I also
13 just state for the record that, after discussion,
14 we were considering whether I should recuse myself
15 from discussion today. It's my understanding that
16 the discussion today will focus not on the AABB
17 standard but on implementation of bacterial
18 testing, and so it would be appropriate for me to
19 continue to participate as a committee member.

20 DR. HOLMBERG: Yes, ma'am.

21 Okay. Non-voting members: Dr. Epstein
22 could not make it for this meeting, and so in his

1 place we have Dr. Jesse Goodman, who is the
2 Director of CBER. He will have to be leaving later
3 today, and Dr. Midthun will be sitting in his
4 place.

5 Dr. Klein?

6 [No response.]

7 DR. HOLMBERG: Jim Bowman?

8 DR. BOWMAN: Here.

9 DR. HOLMBERG: Matthew Kuehnert?

10 DR. KUEHNERT: Here.

11 DR. HOLMBERG: Ruth Sylvester?

12 COLONEL SYLVESTER: Here.

13 DR. HOLMBERG: Did I miss anyone?

14 [No response.]

15 DR. HOLMBERG: Okay. Thank you.

16 I'll turn it over to Mark Skinner, please.

17 MR. SKINNER: The first item of business
18 today is to recognize Dr. Holmberg, who is going to
19 review the previous actions of this committee.

20 DR. HOLMBERG: Our January meeting was
21 conducted on January 28th and 29th, and as most
22 events here in January, weather was very

1 unpredictable. So we did have a cold meeting, but
2 we got a lot accomplished.

3 The topic of that meeting was the role of
4 the government in the national blood supply, whole
5 blood and plasma, plasma fractions, both in daily
6 medical/surgical use and local/national disasters.

7 What we looked at what the National Blood
8 Policy of 1974. We also looked at how other
9 national blood programs were developed in other
10 countries, with overview by Dr. McCullough, and
11 Canada, Israel, and the U.K. presenting their
12 programs.

13 We also had a presentation from the
14 National--or the Interorganizational Blood--I'm
15 sorry, the AABB's International--Interorganizational Task
16 Force--I'll get it right--on
17 the National Blood Reserve, and I'll be
18 highlighting some of those issues.

19 The Interorganizational Task Force on
20 Domestic Disasters and Acts of Terrorism prepared a
21 plan for the National Blood Reserve to respond to
22 sudden and unpredictable civilian or military needs

1 from loss of donors or donations or increased use.
2 Again, there's a typo there. Sorry.

3 The National Blood Reserve was a
4 combination of government and private sector
5 control, primarily looking at 2,000 units
6 controlled by the government, held by government
7 through the DOD, and 8,000 controlled by
8 government, coordination by the Interorganizational
9 Task Force, but held in regional blood centers.

10 The concept was explained to us as a surge
11 capability with the DOD having the initial surge
12 and the private sector having also a surge
13 capability to have about 10,000 units available to
14 be able to move into two major cities, and then the
15 sustained support would be the adequate or the
16 effective information exchange back to the blood
17 centers to recruit donors and to build up the blood
18 supply.

19 I have to say that in the interim of two
20 months, we have presented this to the Acting
21 Assistant Secretary for Health, Dr. Beato. We are
22 moving ahead on some of these concepts. One of the

1 things that the committee has to understand is
2 that, as each one of your organizations has to face
3 a financial budget, we do also. And so we are
4 looking at ways that we can support this and
5 implement a National Blood Reserve.

6 At this time I don't have specifics how we
7 would do it. We are taking the recommendations
8 from the committee, and we are moving forward with
9 those recommendations. Hopefully by the August
10 meeting we will have more specifics for you.

11 I didn't see that. I thought I was
12 building on a slide.

13 The recommendations from the committee
14 were to take steps to increase the national daily
15 availability to five to seven days, fully fund the
16 DHHS Blood Action Plan in the area of private and
17 government monitoring, and to increase the blood
18 supply; and also to address funding needs at all
19 levels of the blood system to support product
20 safety, quality, availability, and access through
21 targeting of additive resources and appropriate
22 reform to the CMS reimbursement system for blood

1 and blood products, including plasma-derived
2 therapies and their recombinant analogues; also to
3 establish a National Blood Reserve consistent with
4 the committee's recommendations of January 2002 by
5 increasing daily collections through an enhanced
6 program to expand and sustain volunteer donations.
7 The committee endorses the element of the National
8 Blood Reserve as developed by the AABB
9 Interorganizational Task Force.

10 With that, I'll turn it over to Captain
11 McMurtry to explain a little bit about our blood
12 monitoring.

13 CAPTAIN McMURTRY: Good morning. I'm Mac
14 McMurtry. I think I may have met a few of you in
15 the past. I'm glad to be here to visit with you
16 this morning. I want to talk about the blood
17 monitoring. You realize or you know that this has
18 been a gleam in everybody's eye for quite some
19 time.

20 As you are aware, there was a recognition
21 that there needed to be some sort of blood
22 monitoring as far back as the 1960s when Doug

1 Serginore (ph) and Ted Wallace started a monitoring
2 program. It was good for what it was; however, it
3 was a very lengthy process. Some years they had
4 funding for it; some years they didn't. And, over
5 time, it just turned out to be not a practical
6 plan.

7 The AABB and the National Blood Resource
8 Center, of course, have done a lot of work with
9 monitoring the blood supply. The annual survey
10 that AABB does is sort of the gold standard for the
11 amount of blood that's transfused in the country
12 each year. But, once again, that's something that
13 comes out once a year, and clearly, if it comes out
14 once a year, it's not timely information. It's not
15 the sort of information that the Department's
16 looking for to make sort of on-the-fly decisions.

17 The FDA has come up with their plan,
18 TransNet. TransNet certainly has its advantages.
19 It has its disadvantages. It is a very good plan,
20 one that the Department has considered at length,
21 and, in fact, as we move forward with a monitoring
22 plan, we're going to adopt a lot. I think we're

1 going to probably just take the whole thing over
2 and fold it into our emergency response plans.

3 So, as I said, the TransNet system is very
4 good and will be used in the future. And then

5 there's the HHS Sentinel system. This is the plan
6 that we've been working with now for about three
7 years where we have 29 Sentinel sites throughout
8 the country. Primarily they're hospitals with
9 three transfusion centers. We receive daily blood
10 inventory information from them. And while this is
11 not a representative sample by a long stretch, it
12 does illustrate variations in the blood supply. It
13 was up and functioning in August of 2001, so when
14 we had the 9/11 attack, we were able to illustrate
15 very nicely what the blood supply did and how
16 it--what the level of it was for the 42 days before
17 the blood began to outdate.

18 What we have devised now is a new system,
19 a representative sample of hospitals and blood
20 collection centers, and we're calling it BASIS, the
21 Blood Availability and--Blood Availability and
22 Safety Inventory System. I'm sorry. I don't know

1 why that's not rolling off. I normally can say it
2 fairly easily.

3 We're going to be collecting sample blood
4 data--we're going to be collecting data from a
5 representative sample of blood collection centers,
6 and what I have got here is the table that we're
7 using to explain what the stratification is that
8 we're using to collect--to determine blood centers.
9 We're looking at the number of units that the

10 collection centers collect within a year's period
11 of time and then taking--we have--let me back up.

12 We have contracted with Economic Systems,
13 Incorporated, to look at our plan or to look at our
14 program and devise a way to get a representative
15 sample of collection centers and hospitals. And
16 they have told us that of the collection centers
17 that collect this amount of blood each year, we
18 need two of those, and then right on straight
19 through, so that we end up with a sample of 37

20 blood collection centers.

21 With the hospitals, we're using the
22 American Hospital Association survey. We're using

1 the number of surgeries performed each year as a
2 surrogate for the amount of blood that's used
3 because we don't really have a correlation between
4 surgeries and number of transfusions yet. So, as I
5 said, the number of surgeries is what we're using
6 to stratify.

7 It's interesting to me--and I don't really
8 understand it, but there are a certain number of
9 hospitals that respond to the AHA survey where they
10 don't list the number of surgeries that they
11 perform. So we have a category that we're going to
12 collect of hospitals that we don't know how many
13 surgeries they do. We have a low on up to a high
14 number of surgeries. We're going to end up with
15 131 hospitals in that sample.

16 That will give us, what they tell us--and
17 we believe it to be true--a sample of hospitals and
18 collection centers in the country that will
19 describe the universe very nicely. And so any
20 conclusions that we draw about the blood supply
21 should be accurate, with a 95-percent confidence
22 interval.

1 In the past, with the Sentinel system what
2 we have done is collect information on all blood
3 types. We are told--and we believe this--that we
4 don't need to have all blood types; that if we

5 simply get information on Os and then this
6 information on platelets, that will describe the
7 blood supply quite nicely, also. What we'll
8 collect, we'll collect different information from
9 the hospitals than we will from the blood

10 collection centers.

11 I have this--actually, this chart is a
12 little out of date. We have a line here for
13 wastage. We're not going to collect that
14 information. But we will be collecting the rest of

15 it. And that should be enough to tell us what we
16 need to have to make conclusions about the blood
17 supply.

18 We're also going to look at some
19 qualitative data elements. There's going to be

20 input fields where we will ask if surgery was
21 delayed, was an order not filled completely. If
22 that's the case, was the blood product purchased

1 from an alternate supplier? Was a non-standard
2 protocol used in patient care because of shortage?
3 These shortage comments have proven to be very
4 valuable to us in the past, and we're going to
5 continue to collect this sort of information.

6 When are we going to do this? Well,
7 that's the big question, isn't it?

8 It is our intent to begin to phase this
9 program in starting this year with a phase-in of
10 blood collection centers, and then in next fiscal
11 year we hope to phase in the remaining transfusion
12 services, the other hospitals, which is another
13 103, something like that, that will come in next
14 year.

15 I wanted to put up here what our
16 principles are. What is BASIS going to do? We
17 want to gather data that can support broader,
18 longer-term assessments of the status and direction
19 of the nation's blood supply and improve the
20 knowledge base underlying consideration of
21 departmental policy decisions.

22 There has been some thought about BASIS

1 and it having some added value for the reporting
2 sites, and we've gotten conflicting messages on
3 that. Some hospitals that are Sentinel sites now
4 and report every day, they think this is wonderful,
5 some of them do, because it tells them about blood
6 usage that they weren't aware of in the past, and
7 they use it as a tool on a monthly basis. Others
8 don't see that value in it. The value in it for us
9 is that it gives the Assistant Secretary of Health
10 information about policy decisions made by the
11 government.

12 One of the things that I want to stress is
13 that the Assistant Secretary for Health is the
14 national blood safety officer. Decisions about
15 blood policy rest with her, and this gives her
16 information that she can use on what's actually
17 happening with the blood supply so that these
18 policy decisions can be made.

19 An example of this is we have contractors
20 and servicemen coming back from Iraq and Afghanistan.
21 There's a leishmaniasis deferral for a year
22 for those people. What's that doing to the blood

1 supply? Do we really know? I'll tell you the
2 answer to that is no, we don't know what that
3 deferral policy is doing to the blood supply.

4 We're involved in a discussion today about
5 platelets. What is the new policy doing? How is
6 it affecting platelet supply? We don't know. We
7 don't know. It's our hope that with a fully
8 functional BASIS operation going that we'll have
9 answers to questions like that. Does this new AABB
10 policy or the new bacterial detection policy affect
11 platelet supply? What is leishmaniasis doing to
12 the blood supply? And then in a minute, we're
13 going to talk about a national blood policy, and we
14 think that a monitoring program--we don't think.

15 We know that a monitoring program is essential to
16 any sort of national blood policy.

17 Let's see. There's a thing that BASIS
18 doesn't do, and our plan is that it is not intended
19 to support a regular direct governmental
20 involvement in the day-to-day operations and
21 decisions of blood centers, hospitals, and/or
22 community transfusion services. I want to be real

1 clear about that. This is not a way for the
2 government to meddle in the affairs of blood
3 centers around the country. That's not our intent
4 by any stretch of the imagination.

5 What we're hoping is that it will generate
6 data to enhance discussions and decisionmaking
7 broadly across the entire blood community, whether
8 it's within the government or outside the
9 government. There will be a good, strong database
10 that people can use in their discussions about
11 blood policy.

12 Another thing that BASIS will do will help
13 with critical instant response. This actually is a
14 fairly nasty slide so let me explain what it is.

15 None of the print works.

16 This is a schematic of what we intend for
17 BASIS to do. We're going to be collecting
18 inventory data from hospitals and blood centers.
19 The information that we collect here will go into a
20 secure Web input. That then will be aggregated and
21 transmitted into a database. This is the
22 day-to-day, routine inventory information that

1 we're looking for. I'll explain this in a minute.

2 This database then will generate output
3 reports, which is here, and these output reports
4 will go to our office and the Assistant Secretary
5 for Health, and this will be updated--this whole
6 stream right here will be updated every four hours
7 so that we're aware of fluctuations in the blood
8 supply. As I said, this is the day-to-day, routine
9 blood monitoring.

10 This side over here is in the event of a
11 critical incident. This database, the information
12 in this database will translate--does translate or
13 transfer over to the database that's maintained in
14 the Secretary's command center. The fact is this
15 is just a mirror of this one. This will be
16 available in the command center, once again,
17 updated every four hours.

18 In the event of an event, affected areas,
19 regardless of where it is--whether it's some
20 hospital someplace or--what was it last night?
21 There was a train wreck in Jackson, Mississippi.
22 So if there's a problem in an area, we will

1 actively go to that area and ask them to input
2 data. We'll give them a password where they can
3 load onto the website and put their information
4 into this secure website. This goes in and mixes
5 with the day-to-day monitoring, comes down to
6 output reports, and then, once again, goes to our
7 office and the Assistant Secretary for Health so
8 that if we have to make decisions about blood
9 supply issues, that can be done down here.

10 What kind of decisions would we make?
11 Well, back this past summer, we had a shortage
12 issue. We got the Secretary of Health and Human
13 Services to roll up his sleeve, and there were lots
14 of cameras there. He made an appeal for donations.
15 Apparently, people came out and the blood supply
16 came back up. So these are the sort of things that
17 we're expecting out of this right here.

18 And then, as I said, there's a National
19 Blood Reserve. As Jerry just reviewed with you,
20 this is the recommendation from last time that we
21 establish a blood reserve. This is a critical part
22 right down here that we need to increase the

1 national available inventory to five to seven days.

2 There's also the issue of ad campaigns,
3 trying to get more people to come out and donate
4 blood. That's what you need to do if you're going
5 to increase the available inventory. But what is a
6 five- to seven-day inventory? We're in a position
7 right now that we don't know what a five- to
8 seven-day inventory looks like.

9 There are studies that have been done--and

10 I think you can probably see it yourself in your
11 individual sites--that as supply goes up, demand
12 goes up along with it, and they kind of go up
13 together. So if you demand following supply, how
14 do you know what a five- to seven-day inventory is?

15 And then you add in the effect of any sort of ad
16 campaigns that might be out there. Does that
17 really increase--you can probably count and see if
18 it increases donations, but does it actually
19 increase supply? We don't know. And so we're

20 hoping that with BASIS we'll be able to actually
21 see a five- to seven--we'll be able to see what a
22 five- to seven-day supply looks like?

1 So, anyway, we're hoping to get that
2 implemented beginning this year with our phase-in
3 and then the rest of it following in the next
4 fiscal year.

5 So, anyway, that's it with the update on
6 monitoring. If you have any questions, I'll be
7 happy to answer them.

8 MR. SKINNER: Questions, Jeanne?

9 DR. LINDEN: Just a request. The tables
10 didn't print out on the handouts of the slides.
11 Would it be possible for the committee members to
12 get a copy of those tables?

13 CAPTAIN McMURTRY: You bet.

14 DR. LINDEN: Thank you.

15 MR. SKINNER: Colonel Sylvester?

16 COLONEL SYLVESTER: On your system, it
17 updates every four hours. Is this manually entered
18 data?

19 CAPTAIN McMURTRY: Right now it is.

20 There's a gleam in our eye that we'll be able to
21 do, not a direct link but the plan is for the
22 reporting sites to be able to dump into a file that

1 can then be accessed by our server.

2 There's a reluctance on the part of a lot
3 of folks to have the government computer tap into
4 the local computer. The IT guys get real skittish
5 about that. So if we dump into a separate file,
6 they're happy with that. That's what we're hoping
7 to do.

8 MR. SKINNER: Dr. Holmberg?

9 DR. HOLMBERG: I just wanted to make a
10 point of clarification. The update every four
11 hours is primarily because it will not be entered
12 at set times by all places across the country in
13 different time zones. And so we want to be able to
14 capture that throughout the day.

15 At some point in time during the day, it
16 will be stabilized, the data will be stabilized,
17 and we're working through some of the advantages of
18 that.

19 However, one of the things I would like to
20 make a point of clarification on is that Captain
21 McMurtry mentioned as far as what would we do with
22 the data. The data will be used to make decisions

1 in working with the AABB's Interorganizational Task
2 Force. So I didn't want to give the perception
3 that, you know, government is going out there doing
4 their own thing and trying to control the issue.

5 The Assistant Secretary for Health is responsible
6 for the blood activity within the country, and she
7 would definitely be working with the AABB
8 Interorganizational Task Force.

9 As we mentioned at the last meeting, this
10 has to be a government/private sector endeavor,
11 especially with the blood reserves.

12 MR. SKINNER: Jeanne?

13 DR. LINDEN: In terms of critical events,
14 I understood you're talking about would occur at
15 sites that are not part of your random--or, excuse
16 me, representative sampling.

17 CAPTAIN McMURTRY: That's correct.

18 DR. LINDEN: So can you elaborate a little
19 bit on how you would enroll these sites that are
20 not set up to be communicating with your system,
21 keeping in mind that communication systems may not
22 be completely functional, so I assume you have

1 backup systems arranged. Can you just tell us a
2 little bit more about what you have set up in your
3 plan for that to get these sites able to
4 communicate?

5 CAPTAIN McMURTRY: I wish I had my
6 favorite cartoon. It's one that I just love. It
7 shows this university president-type guy talking to
8 two scientists with the Einstein hair and the lab
9 coats, and on a blackboard there's a bunch of
10 figures on one side and a bunch of figures on the
11 other side, and in the middle it says, "Something
12 magical happens." And one says to the other,
13 "Somehow I was looking for something a little more
14 specific."

15 We are aware that there can be some
16 communication problems, and so that's one of the
17 things that we're beginning to address, to figure
18 out how we would get data in.

19 Setting that issue aside, the intent is
20 that--and let's just use this train wreck last
21 night. Let's say that we have a lot of casualties
22 there. What we would do is identify the affected

1 hospitals and blood collection centers in that
2 area. We would actively call them up on the phone,
3 ask them if they would contribute data to the
4 command center, give them the passwords necessary
5 to log into BASIS, and then we would ask them to
6 update on a regular basis--I keep using "basis" as
7 a word and then "BASIS" as a name. But you
8 understand.

9 So we would ask them to input data at
10 regular intervals so that we would be able to know
11 what's going on in that area to see if there was
12 any action that needed to be taken in conjunction,
13 as Jerry said, with the Interorganizational Task
14 Force.

15 There might be things that need to be
16 done. There might not be things that need to be
17 done. But we would know without it being a
18 helter-skelter arrangement.

19 MR. SKINNER: Karen?

20 MS. LIPTON: Jerry, I very much
21 appreciate, and you, too, Mac, talking about
22 coordination. The one thing we do have in place

1 now is an ability to communicate immediately, and
2 we have a number of default mechanisms that allow
3 us to get in contact when everything goes does,
4 which it invariably will. But perhaps we could
5 make sure that we coordinate on that end so that
6 we're both not trying to collect information from
7 blood centers and hospitals in this situation,
8 because that actually is something that we do
9 routinely in these situations.

10 MR. SKINNER: Other committee comments or
11 discussion? Dr. Sayers?

12 DR. SAYERS: I'd like to say something
13 promoting the value of knowing the availability of
14 the national blood supply and endorsing the concept
15 of BASIS. Some of us here were present at Blood
16 Products Advisory Committee meetings and
17 Transfusion Spongiform Encephalopathy Advisory
18 Committee meetings when the whole concept of
19 geographic deferral for donors who might have been
20 exposed to variant CJD was being discussed. And
21 what was disappointing was that when the deferral
22 period for those donors was under discussion, it

1 became very obvious that some of those advisory
2 committee members wanted to know how much
3 additional donor deferral actually could be
4 tolerated. And that was an important consideration

5 when the decision was, well, shall we defer
6 individuals who've spent two months overseas or
7 should we defer individuals who've spent eight
8 months overseas, when those discussions were on the
9 table.

10 Alan Williams put together information for
11 the TSEAC to review in deciding how long those
12 deferral intervals should be, and it was obviously
13 earnest information that he put together, but it
14 was incomplete because we really did not know how

15 robust the national blood supply was at the time.
16 Had we had the information that BASIS might have
17 been providing, I think we would have had a better
18 handle on being able to satisfy committee members'
19 needs to know what that deferral inventory should

20 be without at the same time jeopardizing the
21 availability of the blood supply by being too
22 aggressive in deciding how long that overseas

1 period should be before donors earned deferral.

2 I think had we known some of the BASIS
3 information back then, we probably would have been
4 able to make better decisions when it came to
5 deferring donors to reduce the hypothetical risk of
6 variant CJD transmission.

7 I didn't intend that to be a sermon, so I
8 apologize.

9 MR. SKINNER: Captain McMurtry, I'd like
10 to ask you a question. Is the intent of this
11 system at some point designed to be able to provide
12 prospective answers? The scenarios you've talked
13 about are going to give us a retrospective answer
14 in terms of what's occurred. You posed the
15 question originally in today's discussion we don't
16 know what the impact will be of the bacterial
17 contamination changes, which really is forecasting
18 into the future. Can this system help us answer
19 those questions as well?

20 CAPTAIN McMURTRY: It depends on who you
21 talk to. No, actually, we feel that with a
22 representative sample that we're going to have, and

1 as large a representative sample as we're going to
2 have, once we establish a good, solid baseline, we
3 can do a lot of predictive studies with this
4 program.

5 MR. SKINNER: Other discussion?

6 [No response.]

7 MR. SKINNER: Thank you.

8 At this point the committee will turn its
9 attention to CMS, and Dr. Bowman is going to give
10 us a overview and update us on some of the issues
11 related to the Medicare Modernization Act.

12 DR. J. BOWMAN: Hi. My name is Jim
13 Bowman. Does everybody have a copy of the
14 three-page paper handout that was available at the
15 door? If anybody doesn't have a copy, raise your
16 hand and I'll...

17 It'll be a little difficult to follow the
18 music with the handout. It's probably going to be
19 difficult to follow the music with the handout.

20 But we'll try to stumble through that. As Captain
21 Mac said earlier, when a bill gets passed by
22 Congress, something magical happens, but I think

1 you're probably interested in more specifics, and
2 that's basically what I'm going to try to do for
3 you in the next few minutes.

4 Many of you are much more knowledgeable
5 about specific sections of this bill than I am. I
6 recognize that. And to the extent that you
7 identify any inaccuracies, please point them out to
8 us at the end of my discussion so that we can get
9 it on the record.

10 There's a lot of background material that
11 will be posted on the website for the committee
12 meeting and also in your handbook. We're not going
13 to go over that at this point because of time
14 limitations. So that's why I have the paper
15 handout.

16 The paper handout is not an official
17 document. The Department and CMS has some very
18 specific information that is available on the
19 website that identifies and addresses the Medicare
20 reform bill, which is now officially called the
21 Medicare Modernization Act, or MMA. And I refer
22 you to that for specific details of the overview of

1 the bill itself. I primarily want to address
2 issues that most of you are interested in, which is
3 how payments for blood products are affected by
4 this bill. And so that's what I'm going to confine
5 the discussion to at this time.

6 The bill itself is about 674 pages. If
7 you count the front page, the inside cover, the
8 backside cover, and the back page, 678 pages. I
9 brought a copy along for everybody to see. It
10 probably weighs about five pounds. It's a fairly
11 hefty document. This is all double-spaced and
12 single side. So if you put two sides to a page,
13 you can reduce it a little bit.

14 You can access the bill on the CMS
15 website, the URL address in the handout, and
16 there's numerous other ways to access it, also. I
17 would encourage you not to print it out. You'll
18 probably go through \$10 worth of paper, ruin your
19 toner cartridge, and you may even break your
20 printer if you do that. But you can
21 certainly--it's a PDF file, and you can certainly
22 print out specific sections of it to work with.

1 I'd also call your attention to another
2 document that's available that is fairly helpful.
3 It's call the "conference agreement." The
4 conference agreement is a document that's put out
5 by the Congress, and it basically summarizes in a
6 fair amount of detail the intent of Congress,
7 especially the conference committee, when the bill
8 was passed, for each section of the bill. In each
9 section, it addresses the current or present law
10 prior to passage of the bill. It addresses in
11 detail the House version, the Senate version, and
12 then the agreed upon conference agreement that
13 appeared in the final bill.

14 It does provide some detail and some
15 insight into the intent of Congress. I would point
16 out that it doesn't have the force of law that the
17 bill itself has. And so that is another useful
18 document.

19 Now, on the handout, the very first page
20 is a fairly mundane and dry list of sections of the
21 bill. It has a number of titles, Title I through,
22 I believe, Title XII. And the reason I put these

1 there is just for your information so you won't get
2 confused with the Social Security Act, which also
3 has titles. In particular, Title XVIII of the
4 Social Security is the title that authorizes the
5 expenditures for the Medicare and Medicaid
6 programs. So I just want to make sure people do
7 not get confused about that. The new Medicare
8 bill, MMA, has a number of sections. I believe it
9 goes up to--I'm not sure--1,200 or so. But they're
10 not all sequential, so there are certain sections
11 that are dropped out in the conference agreement.
12 So you won't see 1, 2, 3, 4. There may be some
13 gaps. It doesn't mean they left anything out in
14 the final bill when you see it printed out. It
15 just means that those were not included in the
16 final bill.

17 I'd ask you to take a look at the second
18 page or the back of the first page on your handout.
19 I want to provide some clarification because when
20 we talk about payments for blood products, we're
21 really talking about several different things.
22 There's blood, which, you know, comes right out of

1 your vein when you bleed. Okay? That's whole
2 blood. And I'm not meaning to, you know, talk down
3 to anybody. Like I said, I want to try to get us
4 all on the same page and singing from the same
5 tune, if we can, even if it's a very simple tune.

6 The payment can be affected by whether
7 it's blood, whether it's a plasma-derived therapy
8 agent, or whether it's clotting factors. And even
9 under clotting factors, a lot depends on certain
10 payment systems as to whether it's a natural
11 clotting factor that's derived originally from
12 blood or whether it's recombinant and is not in any
13 way at all associated with blood. So I just want
14 to get that out in the open to begin with.

15 Basically our payment systems under the
16 Medicare program depend on where the services are
17 rendered and by whom. And so, for instance, blood
18 primarily is provided in the inpatient hospital
19 setting in acute care hospitals. At least the vast
20 majority, more than 90 percent is. And that's a
21 certain payment system. There's a certain
22 authorization in the Social Security Act under

1 Title XVIII for that. And there's certain
2 specifications of the way that's paid. Most of you
3 are familiar with that. It's under the DRG system.

4 The hospital outpatient department is
5 another setting, and, by and large, a good portion
6 of the blood that is used in blood products used in
7 the hospital outpatient department fall under the
8 Hospital Outpatient Prospective Payment System,
9 sometimes called HOPPS--not to be confused with the
10 beverage category. And our division director over
11 at CMS, Cindy Read, is going to address some of
12 those issues in a few minutes.

13 Finally, there's the physician office
14 setting, which is another setting that sometimes we
15 find blood and blood products provided. And that's
16 provided for under completely separate authorization, which
17 is the physician fee schedule. It's
18 provided for under a different section of Title
19 XVIII of the Social Security Act. So those are
20 three separate settings, and I'll try to run over
21 those very briefly. And I provide a fair amount of
22 background material under each section for you to

1 refer to later on.

2 Now, when we get into the MMA provisions,
3 some of the payments are going to be determined by
4 when this service is furnished. For instance, if
5 it was furnished in 2003 or prior years--of course,
6 that's before the MMA was passed. It was enacted
7 December 8th of this past year, and most provisions
8 don't start until, obviously, January 1, 2004. And
9 a number of them start January 1, 2005, and January
10 1, 2006, and thereafter. So there are certain
11 special provisions written into the bill to allow
12 for a transition, if you will, for some of the
13 payments for blood products. We'll get to those in
14 just a few minutes. I don't want to say much about
15 the inpatient hospital setting for blood
16 transfusion payments because those are not really
17 changed much at all by the MMA provisions.

18 Now, having said that, there are some
19 significant changes for the hospital inpatient
20 payments in general under the MMA, and I've listed
21 a few of those. There's a lot of additional monies
22 that are going to be infused--sorry for the

1 pun--into the hospital systems, but not
2 specifically for blood products. So I want to make
3 that clear.

4 The second setting I want to address,
5 which some people think may be the most confusing,
6 is the physician office setting. And that should
7 be on the second page, I think, the second full
8 page. I'm not going to go into the verbiage.
9 That's just to provide some background information
10 for you to refer to later.

11 Primarily the blood and blood products do
12 not have a specific benefit written into
13 legislation. However, most of the services that
14 are provided in the physician office setting would
15 be considered incident to the physician service.
16 And that's where a lot of the payments are made for
17 a lot of different kinds of services and products
18 within the physician office setting, and that's
19 where blood falls.

20 Now, the main sections that address this
21 in MMA are Section 303(b), and basically what
22 Section 303(b) does is it amends a section--and

1 again, we're getting confused somewhat because
2 we're talking about sections of the MMA and then
3 sections of the act. But it amends Section 1842(o)
4 and then the paragraphs that fall under 1842(o).

5 And it amends these areas which address payment and
6 physician office setting.

7 By and large, currently these products are
8 provided in the physician office setting, 95
9 percent of something called AWP, which all of you
10 are familiar with--average wholesale price. Most
11 of you are aware it's not necessarily an average,
12 it's not necessarily wholesale, and, actually, it's
13 probably not necessarily a price. But, anyway,
14 that's the way it's written right now.

15 That's going to change. The first thing
16 that's probably most important is that it will stay
17 at 95 percent AWP for certain categories of blood
18 and blood products, and that's listed toward the
19 bottom of that page. I'm not going to read all
20 these off to you, but primarily these relate to
21 products that are provided in the year 2004, our
22 current year. Blood clotting factors are listed.

1 It does address certain vaccines and certain
2 separately billable dialysis drugs that fall under
3 the End-Stage Renal Disease program, which is a
4 separate authorization.

5 Now, the next section toward the bottom
6 there is that the blood and blood products are
7 actually excluded, if you will, from most of these
8 provisions. If you turn to the back of that page
9 and look under paragraph (f), which is toward the
10 top of that page, I put the quotes there directly
11 from the act. What it says is, "In the case of
12 blood and blood products, other than blood clotting
13 factors, the amount of payment shall be determined
14 in the same manner as such amount of payment was
15 determined on October 1, 2003." Basically there's
16 no change for the blood and blood products.

17 Clotting factors are put in parentheses
18 there, and that's because it was the intent of
19 Congress not to include that. And so clotting
20 factors will fall under the rest of the changes to
21 Section 1842(o).

22 There are certain provisions for payments

1 in 2004, and then payments afterwards fall under
2 some changes to Section (o), which is the addition
3 of a new paragraph, which is paragraph (4). And
4 we're still toward the top of that page. You'll
5 see a section where it says, "The default amount is
6 85 percent AWP, as AWP is defined on April 1,
7 2003." Okay.

8 Then there's a section right after that
9 that says that except for the defaults, there's a
10 Table 3 in the Federal Register that was published
11 on August 20, 2003, and those percentage of AWP are
12 the ones that will apply. So the default will
13 apply if it's not listed in Table 3 of the Federal
14 Register on August 20, 2003.

15 Then, finally, the Secretary may
16 substitute other percentages, and that's based on
17 some data and information that is supplied by the
18 manufacturers to CMS prior to January 1, 2004.
19 Well, it's obviously past January 1, 2004, so that
20 probably doesn't help the manufacturers at this
21 point. But any information that was supplied, the
22 Secretary will take that into consideration, and at

1 any rate, it would not be less than 80 percent of
2 AWP.

3 Now, we get into the bigger changes, which
4 is something called the average sale price
5 methodology, and we're about midway down the page.
6 And this is a new section that's added to the
7 Social Security called Section 1847A, and this is
8 going to become effective beginning January 1,
9 2005. That's next year.

10 Basically what it does, it pays physicians
11 a premium of 6 percent over an amount that is
12 determined to be the acquisition cost for the
13 physicians. In other words, the physicians are
14 going to get 6 percent, if you will, to cover
15 overhead, office expenses, and things like that.

16 Now, I would clarify that physicians also
17 receive separate payments for actually infusion
18 services themselves. That's a whole separate issue
19 that's addressed by both CMS on an annual update
20 basis as part of the physician fee schedule and
21 also within the bill. But in terms of the cost of
22 the drugs themselves, what we call the average sale

1 price as defined in the act, which is also--the
2 methodology is actually described in the bill
3 itself. The physicians will get a premium of 6
4 percent.

5 There basically are two types of drugs
6 that are considered the multiple-source drugs and
7 single-source drugs. There's details in the bill
8 itself that describe how those average sale prices
9 are determined. In the case of a single-source
10 drug, actually it's the lesser of the average sale
11 price or something called the wholesale acquisition
12 cost, which, again, is described in the bill
13 itself.

14 Now, there's another section that's added
15 just after that called Section 1847B, and this is a
16 section that basically is termed "the competitive
17 bidding section" or "competitive acquisition."

18 First let me say that physicians do not
19 have to choose to be paid or reimbursed under
20 Section 1847B. They're allowed to revert back to
21 the default system, which is Section 1847A,
22 primarily. But that's up to the individual

1 physician for him or her to decide.

2 This will become effective January 1,
3 2006. It's basically a section where there's
4 certain methodologies set up for different
5 geographic areas of the country for identifying
6 competitive bidding for certain drugs and
7 biologicals.

8 There's a lot of details to be worked out.
9 There will be some contracts that will be awarded
10 based on competitive bidding. There has to be at
11 least two bids. There are some other details
12 involved with that section.

13 I would point out, there's a section in
14 the bill--and that's down at the bottom of that
15 page--that's called "exclusion authority."
16 Basically it states, "The Secretary may exclude
17 competitively biddable drugs and biologicals,
18 including a class of such drugs and biologicals,
19 from the competitive bidding system under this
20 section. If the application of competitive bidding
21 to such drugs or biologicals," and then it follows
22 one of two separate criteria, "is not likely to

1 result in significant savings or is likely to have
2 an adverse impact on access to such drugs or
3 biologicals." In which case, if the Secretary so
4 determines, then the payment for these agents and
5 products will revert back to Section 1847A
6 methodology.

7 So the competitive bidding is an option
8 that physicians may or may not choose, at their
9 choice, and also there's exclusion authority that
10 we should be aware of.

11 The final section I want to cover is the
12 Hospital Outpatient Prospective Payment System,
13 OPPOS or HOPPS. It's kind of complicated. I'm not
14 going to go into the details of how it's currently
15 paid for right now. Cindy Read is going to address
16 some of these issues in a few minutes.

17 Prior to the passage of the MMA in
18 December, Medicare basically paid for drugs in the
19 outpatient hospital setting under three different
20 methods. Primarily most drugs are basically
21 bundled or incorporated into the payment for
22 something called an ambulatory payment category,

1 which is--essentially it's a DRG for the outpatient
2 setting, okay? DRGs came into the inpatient
3 setting back in 1983. The ambulatory payment
4 categories, APCs, came into effect as a result

5 of--somebody help me here. It was several years
6 ago under one of the acts of Congress. So it was
7 as prospective payment system for the outpatient
8 setting.

9 However, certain drugs were recognized as

10 being high cost at the time of implementation of
11 the Outpatient Prospective Payment System, and they
12 were given something called transitional passthrough status
13 or transitional passthrough payment.
14 And then there were certain others that even though

15 they didn't qualify or meet the criteria for
16 transitional passthrough, separate APC categories
17 were identified and created for those drugs and
18 products.

19 The most obvious--I think a lot of you are

20 familiar with it--is whole blood and fresh blood
21 products themselves--not fresh blood but cellular
22 components of blood, are actually paid for with

1 separate APCs in the outpatient section.

2 Now, the new bill does make some changes

3 to this. For one, it classifies

4 radio-pharmaceutical as, in quotes, "specified

5 covered outpatient drug," which basically qualifies

6 it for a separate APC payment. It also makes those

7 drugs or biologicals paid as passthroughs on or

8 before December 31, 2002, also specified covered

9 outpatient drugs. Now, these two classes of drugs

10 are going to be paid in a very specified way,

11 according to the MMA bill, and what I placed

12 halfway through the page there is where it says

13 "product type," there are several types. There's a

14 sole-source product, there's multiple-source

15 innovator and multiple-source non-innovator. Those

16 are defined very specifically in the bill itself. And then

17 the payments are going to fall within

18 ranges, so there's upper bounds and lower bounds on

19 the amount of percentage of AWP during 2004 and

20 2005 that those drugs can be paid at.

21 Beginning in 2006, all of these products

22 will be paid an average acquisition cost, and,

1 again, average acquisition cost is a payment amount
2 that's determined very specifically under a
3 methodology defined in the bill itself. I included
4 some background information regarding the
5 multiple-source and the sole-source drugs
6 themselves and the specifications for biologicals,
7 just for your information.

8 Now, finally, I want to call your
9 attention to two sections of the MMA, and that's on
10 the last page of the handout, the very back page.

11 One is Section 303(e) of the MMA, and
12 basically it provides a mechanism for the Secretary
13 to make some adjustments in blood clotting factors
14 payments. And what it does is it relates back to a
15 report to Congress that was made in January 2003 on
16 the payment for blood clotting factors, and it
17 prescribes some options for the Secretary, which,
18 of course, will end up being done by CMS, for
19 determining separate payments for certain aspects
20 related to administration and preparation of the
21 clotting factors. And I wanted you to be aware of
22 that.

1 Then, finally, toward the bottom of the
2 page, I want to call your attention to a section in
3 the MMA conference agreement, which, again, is sort
4 of the document that accompanied the bill from the
5 Congress, that, again, provides an intent of
6 Congress, although it doesn't have the force of
7 law. Basically it says the Secretary is directed
8 to compile and clarify the procedures and policies
9 for billing for blood and blood costs in the
10 hospital inpatient and outpatient settings, as well
11 as the operation of the collection of the blood
12 deductibles.

13 Dr. Holmberg has already been in touch and
14 made several visits to CMS with our staff there to
15 begin at least the preliminary legwork for
16 accomplishing this.

17 And then at the very bottom, in bold
18 print, I want to call your attention to the
19 disclaimer because, again, this is not an official
20 CMS departmental or Federal Government document or
21 paper. There's no liabilities or consequences that
22 the Federal Government will incur because of this,

1 and there could be inaccuracies. So I would
2 encourage you to consult your trusted sources
3 before making any determinations or decisions based
4 on this information.

5 Having said that, the staff at CMS are
6 certainly available and willing, obviously, to work
7 with anybody who is concerned or has issues to
8 address regarding blood and blood products
9 payments. That's what we are there for. If we
10 weren't, we wouldn't need our jobs. And so our
11 telephone lines are open, and you are more than
12 welcome to address us by e-mail. I think the my
13 e-mail is in the handout. If it isn't, you
14 certainly can get that from Dr. Holmberg. And I
15 think that will conclude my section for now.

16 Jerry, do you want to let Cindy go first
17 before we have any questions or would you like me
18 to--

19 DR. HOLMBERG: Why don't we take committee
20 questions and discussion now and then we'll hear
21 from Cindy. Let me just ask the first question, if
22 I may, for purposes of just understanding.

1 The section that relates to competitive
2 bidding and the opportunity for exclusions from
3 that, could you comment on what the current
4 thinking is or what the current status is of
5 competitive bidding for blood and blood products?

6 DR. J. BOWMAN: The way I understand it,
7 because of paragraph (f), which was addressed
8 earlier before we got to the competitive bidding
9 section--and this is under the physician payment
10 section--blood and blood products, it says the
11 payment amounts will be determined as they are as
12 of October 1, 2003. I haven't consulted anybody at
13 CMS because, for one reason, competitive bidding
14 will not take effect until 2006, so this is fairly
15 deep into the weeds of that.

16 But based on that section, paragraph (f),
17 it looks like blood and blood products other than
18 blood clotting factors are excluded from Section
19 1847B, which is the competitive bidding section.

20 I'll try to find somebody who can confirm
21 that for me and get back to the committee on that.

22 DR. HOLMBERG: Chris?

1 MR. HEALEY: Thank you. Thanks for that
2 presentation, Dr. Bowman. I think that was very
3 helpful. It clarified what is a very complex
4 statute.

5 To Mark's point, our understanding of the
6 way blood clotting factors are handled is that they
7 are not exempt from the competitive bidding process
8 that takes place in '06, and that is a grave
9 concern to, I believe, the using communities as
10 well as the producers of these therapies.

11 It is worth noting that Congress
12 recognized the unique nature of plasma-derived and
13 recombinant therapies by carving out IVIg from the
14 competitive bid process. So that is carved out.

15 The A1PI products enjoy orphan status, so they're
16 not subject to the competitive bid as well.

17 It's also our understanding that it was
18 the congressional intent to exclude blood clotting
19 factors from the competitive bid process, and that
20 was discussed in the conference and was in the
21 initial drafts of the conference agreement but, for
22 whatever reason, was inadvertently dropped from the

1 final publication. So I'd just like to alert CMS
2 to that fact and let you know that we're working
3 with Congress presently to try and have a technical
4 correction or find some means of making sure blood
5 clotting factors are also excluded from the
6 competitive bid process.

7 That said, even though they're excluded
8 from the competitive bid, they are then subject to
9 the ASP plus 6 percent, the 106 percent of ASP.

10 And that is also a concern, frankly, because I
11 think there is widespread recognition that ASP plus
12 6 percent is inadequate for these unique therapies.
13 I think there is a recognition that the handling
14 and storage conditions that are unique to plasma
15 therapies and the distribution channels that they
16 must go through are unlike many other products.
17 And, therefore, there are added costs associated
18 with them, and the 6-percent margin there is
19 inadequate to assure that physicians are going to
20 have a proper incentive to acquire those, stock
21 them, and provide them to their patients. So we
22 really view it as an access issue, and we are

1 concerned about the 6 percent.

2 Just one more point, if I could, and that
3 is, you raised some of the terminology differences
4 in the MMA and the need for kind of a consistent
5 nomenclature. And I'd just like to echo that
6 point. I think that there is a lot of work that
7 can be done between both CMS and FDA to make sure
8 that like terms are used in the same manner across
9 the agencies. I think it is very easy for an
10 agency to refer to one thing as an orphan drug and
11 another agency to say it's an orphan drug when they
12 mean entirely different things. So I'd just like
13 to try and encourage CMS and FDA to work on that
14 issue, and hopefully with Dr. McClellan's transfer
15 to Administrator of CMS there will be some new
16 avenues to make sure that happens.

17 But thank you for the summary. I think
18 it's very good, and unlike the commercials that
19 I've seen on TV, I don't think this is the same
20 Medicare. I think it's a different Medicare. And
21 I think at least for the plasma-using community,
22 it's a better Medicare.

1 MR. SKINNER: Other questions of Dr.
2 Bowman?

3 DR. J. BOWMAN: Thanks, Mr. Healey.

4 MR. SKINNER: I'm sorry. Did you want to
5 respond?

6 DR. J. BOWMAN: No.

7 DR. SAYERS: Thanks, Jim. I have the
8 sense that you saved the best for last. If you
9 look at that paragraph before your disclaimer on
10 the last page of the Secretary being directed to
11 compile and clarify procedures and policies for
12 billing of blood and blood costs in hospitals, is
13 there any timeline for that clarification?

14 DR. J. BOWMAN: No, there is no timeline,
15 to my knowledge.

16 DR. SAYERS: Well, that's kind of
17 disappointing.

18 DR. J. BOWMAN: At least not to my
19 knowledge right now, there's no timeline.

20 DR. HEATON: Andrew Heaton, Chiron. I've
21 got a couple of specific questions related to the
22 Section 303(b)(1), which amends 1842, and basically

1 that amends 1842(o)(1) to allocate 95 percent of
2 AWP basically prior to January 1, 2004; and then
3 Section 303(b)(2) amends this to a default of 85
4 percent of the AWP price for blood and blood
5 products. So the default percentage then in
6 physicians outpatient setting has just dropped 10
7 percent.

8 I notice that there is a provision in that
9 under Section (C) of 303(b)(2) that would allow the
10 Secretary to substitute other percentages based on
11 data and information submitted by the manufacturer
12 prior to January 1, 2004.

13 What procedures are available to provide
14 guidance to manufacturers to submit this
15 documentation in order to avoid a decrease to the
16 default percentage?

17 DR. J. BOWMAN: Actually, Section (C)
18 was--I abbreviated Section (C) somewhat because
19 there were actually two deadlines. One was October
20 1, 2003, and the other deadline was January 1,
21 2004, for manufacturers to submit additional data
22 or information.

1 Interestingly enough, obviously October 1,
2 2003, predated the passage of the act itself, which
3 was signed into law by the President on December 8,
4 2003. I think Congress anticipated passage of that
5 act a good deal earlier than it actually ended up
6 passing.

7 However, having said all that, at this
8 point I'm not aware of any guidance that the agency
9 itself has for manufacturers on this particular
10 issue. As some of you are aware, the agency itself
11 is always open and accepts unsolicited information
12 routinely in addition to the information that is
13 solicited during the comment periods of both the
14 Physician and the Outpatient Prospective Payment
15 System proposed rulemaking process. But
16 unsolicited information is also always welcome.

17 The different divisions of the agency that
18 have responsibility for both the physician payment
19 and the outpatient--and inpatient, for that
20 matter--absolutely always welcome additional and
21 supplementary information that can inform the
22 agency and its staff. The staff are there to do

1 their job as best possible and carry out the intent
2 of Congress and the law itself, the provisions of
3 the law. So even though there is no specific
4 guidance there, and even though the deadlines have
5 passed--and, for the most part, the payments for
6 2004, as you know, are already in effect and there
7 have been corrections made just recently to those
8 payment schedules, at least under the outpatient
9 setting, the agency is always willing to accept
10 additional information to the extent possible, if
11 there are egregious errors or if there are
12 inequalities that should be addressed in the
13 interest of the beneficiaries and access.

14 So kind of long-winded, but unfortunately
15 we don't have any specific guidance on that very
16 specific provision.

17 MR. SKINNER: Other questions or comments?

18 [No response.]

19 MR. SKINNER: There have been a number of
20 issues raised relating to either needs for
21 potential clarification or guidance from the
22 Secretary or CMS as we go forward, as well as

1 potential clarifications of what may be
2 congressional intent. Is there interest on the
3 part of the committee of coming back to this
4 tomorrow afternoon with a possible committee
5 resolution giving the Secretary some preliminary
6 recommendations on the committee's thinking at this
7 point?

8 I'm seeing nods.

9 DR. HEATON: Since we're going to be
10 discussing the need for bacterial screening, for
11 example, which will significantly increase the
12 wholesale acquisition cost, if the agency, the FDA
13 follows up on the new recommendation to require
14 this or recommend this, I do think that we need to
15 propose to CMS that there be a formal mechanism for
16 recognizing adjustments to the wholesale
17 acquisition cost based on new regulatory guidelines
18 or mandates. And I believe that our committee
19 should make such a recommendation.

20 MR. SKINNER: Chris, is there something
21 that could be done at this point on the issues that
22 you raised would be needed?

1 MR. HEALEY: Absolutely. I think it would
2 be important to let the Secretary know that we're
3 concerned about the payment mechanism for blood
4 clotting factors and assuring that access is
5 preserved there through an exclusion from the
6 competitive bid process.

7 MR. SKINNER: Okay. Well, maybe the
8 committee could keep those items in mind, and then
9 when we get to resolutions tomorrow afternoon, be
10 thinking between now and tomorrow about how we
11 might want to word such a recommendation.

12 At this point then, we'll move on and
13 we'll recognize Cynthia Read to present on the
14 corrections to the HOPPS ruling and the APC Panel
15 recommendations.

16 MS. READ: Thank you. Thanks, Dr. Bowman
17 and Dr. Holmberg, for inviting us down.

18 Before I start, I wanted to introduce a
19 couple of members of my staff. I'm Cindy Read,
20 Director of the Division of Outpatient Care, and I
21 brought several of my colleagues with me: Sabrina
22 Ahmed and Cindy Yen. And some of you I think may

1 have met in person or discussed issues with them
2 over the phone.

3 I don't have any handouts, but I wanted to
4 refer on a few issues to the handout that Jim
5 provided you on the MMA. And, of course, we're
6 involved in the setting of rates for the services
7 provided in hospital outpatient departments, so the
8 MMA provisions on the OPDS, of course, are very
9 pertinent to what we do. And I'll refer you to the
10 last page.

11 I just wanted to add a couple of other
12 things. In addition, the MMA instituted a
13 threshold for packaging services. For 2003, we
14 packaged the cost of the lower-cost drugs, and the
15 threshold we use for determining whether or not an
16 item would be packaged was \$150. And for 2004, for
17 our final rule that we published November 7, 2003,
18 we lowered that threshold to \$50, and the MMA said
19 that we should have a threshold of \$50 for both
20 2005 and 2006.

21 The other thing is that for passthrough
22 drugs, the existing law before the MMA passed

1 referred to Section 1842(o), which is the same
2 section for establishing the payment rates for
3 drugs and biologicals use in the physician office
4 setting as the basis for establishing payments for
5 passthrough drugs. So the changes to 1842(o) that
6 are in the MMA also pertain to how we pay for
7 passthrough drugs under the Outpatient Prospective
8 Payment System. And those are new drugs, and
9 they're drugs for which we established these
10 payment rules for two to three years. That, again,
11 is in statute.

12 So the MMA passed in December, and we had
13 to implement many of these changes January 1. We
14 had to publish a rule, which we did on January 6th.

15 That was an interim final rule with comment. We
16 had a 60-day comment period. And between the
17 passage of the MMA and when we published our rule,
18 we had to determine how we were going to classify
19 all of the hundreds of drugs and biologicals in
20 accordance with the MMA provisions.

21 So we recognized when we published our
22 interim final rule that we may have missed some

1 things in the categorization of otherwise the
2 specified covered outpatient drugs. And we offered
3 the opportunity for the public to comment on the
4 classification, and we said that, should we receive
5 comments indicating that we misclassified some
6 items, we would make those corrections as soon as
7 possible. And in most cases, we would be able to
8 do those in our April release.

9 At CMS under the Medicare program, we
10 implement changes that requires systems
11 modifications on a quarterly basis. So the next
12 opportunity for making those changes was April 1.
13 And we did implement some changes. I'll call your
14 attention to several change requests that we
15 published, and the most pertinent one, I think, to
16 you all is transmittal 113 or change request 3145.
17 And in that we describe payment rate changes for 28
18 drugs, biologicals, and radiopharmaceuticals, some
19 of which resulted from the reclassification of
20 those items from multiple-source to single-source
21 drugs.

22 For example, we changed the classification

1 in the payment rates for several codes used to bill
2 for immunoglobulin. And those changes, while they
3 were implemented in the systems on April 1,
4 actually are retroactive to January 1st, and where
5 hospitals had already billed for those services for
6 the three-month period prior to April 1, our
7 systems are going to go ahead and make a mass
8 adjustment to those particular claims so that
9 hospitals receive the correct payment. If they
10 hadn't billed for them, then the claims that
11 they're submitting from this point forward will be
12 paid in accordance with the correct payment
13 amounts.

14 Now I'll get on to the rulemaking that we
15 have to do for 2005 every year. As Dr. Bowman
16 indicated, we go through rulemaking, and we propose
17 a rule generally in the summertime. We're aiming
18 for some time in July to publish our proposed rule
19 for the 2005 update. We'll have a 60-day comment
20 period. And then we will have our final rule that
21 will base the final rule on the comments that we
22 receive in response to our proposed rule.

1 We recognize the importance the blood
2 products play in lifesaving therapy for the
3 patients who are treated, the Medicare
4 beneficiaries in the hospital outpatient setting,
5 and since OPPS was first implemented in 2000,
6 August 2002, we have made separate payment for
7 blood and blood products in APCs rather than
8 packaging them into the procedures with which they
9 were administered.

10 The APCs for these products are intended
11 to pay for costs for the products. The cost for
12 storage and other administrative expenses are
13 packaged into the APCs for the procedures with
14 which the products are used.

15 In 2002, we used industry data that we
16 received to develop payment rates for the products.
17 In 2003, we applied a special dampening rule. For
18 most services paid for under the Hospital
19 Outpatient Prospective Payment System, we use
20 relative hospital cost data that we get from our
21 claims and cost report information from all of the
22 hospitals. We start from a database of 50 or so

1 million claims to develop those.

2 When we were doing our rulemaking for
3 2003, we saw that some of the payment rates for
4 blood and blood products were lower than what we
5 felt we could tolerate, and we were concerned about
6 the access issues. And so we applied some special
7 dampening rules where the payment rates that
8 resulted for 2003 were really sort of a combination
9 of numbers from our median hospital cost data and
10 the previous payments that were established on the
11 basis of external data.

12 For 2004, we accepted our advisory
13 committee's panel recommendations, Ambulatory
14 Payment Classification Panel. And we froze our
15 payment rates for blood and blood products at the
16 2003 level. This gave us some time to look further
17 at our data and some of the issues that had been
18 raised by the committee and presenters at the
19 August meeting. The issue again came up in our
20 February meeting, and Dr. Holmberg and
21 representatives of the industry also came and made
22 some presentations at that meeting.

1 The APC Panel recommendations were that we
2 consider again external data in evaluating the
3 costs of blood and blood products and make
4 adjustments accordingly. And we will consider
5 there--we are considering those recommendations as
6 well as looking at ways of refining our own data to
7 determine what payment amounts we will propose for
8 2005. And we are in the process of developing our
9 proposed rule, and we have had a number of meetings
10 with external bodies. We have another meeting
11 coming up soon, and we welcome any comments that
12 anybody has to provide us or any additional
13 information they'd like to share with us.

14 So I think that covers everything that you
15 wanted us to present, Dr. Holmberg. If there's any
16 questions, we'll be glad to answer them.

17 MR. SKINNER: Questions from the
18 committee? Chris?

19 MR. HEALEY: It's really more of a comment
20 than anything. Ms. Read, I just wanted to thank
21 you for all your work and the work of the folks at
22 CMS who made that rapid technical correction to

1 IVIg, listing it as a single-source. I think
2 that's a tremendous step forward in making sure
3 that the patients who rely on that therapy are
4 going to have access to it. Clearly, these are not
5 generic products. None of them appear in the
6 Orange Book, and so I was glad to see that CMS
7 acted so quickly with your technical correction and
8 changed that listing.

9 I also know in 2006 you are going to be
10 relying on a GAO study of hospital acquisition cost
11 to establish new payment rates, a step away from
12 the claims-based data, and I applaud that method as
13 well. And just to make you aware, we'll be
14 discussing with GAO some of their methodologies and
15 trying to have input in that process as well to
16 make sure, once again, the unique nature of these
17 therapies is reflected in the study that they
18 undertake.

19 So thank you very much.

20 MS. READ: Thank you.

21 MR. SKINNER: Other questions or comments?

22 [No response.]

1 MR. SKINNER: I'm going to take just a
2 moment and do something a little bit unusual.
3 Given that we are going to shift topics now and
4 move into bacterial contamination, public comment
5 is not really until tomorrow, but given that these
6 are two different subjects, I'm wondering, given
7 the interest on these two subjects, if anybody from
8 the public would like to address either of these
9 two presentations or ask questions at this point in
10 lieu of waiting until the public comment session
11 tomorrow.

12 If you'd just identify yourself for the
13 record, please.

14 MS. VOGEL: Sure. It's Michelle Vogel
15 from the Immune Deficiency Foundation.

16 First, I would like to thank you for
17 correcting the IVIg situation at CMS under HOPPS.
18 But I'd like to make a comment under the physician
19 side of things under the Medicare bill.

20 Basically what is going on is the
21 reimbursement for physicians has been reduced to 80
22 percent of AWP, although Congress put in the report

1 language 95 percent of AWP. So as Chris Healey
2 talked about competitive bidding and that there was
3 a mistake and language was dropped, the same thing
4 happened for IVIg.

5 And what that does to patients is that
6 patients are being dropped out of physicians'
7 offices, and it can't continue that way. And in
8 some cases, there aren't local hospitals that do
9 the infusions. So where do these patients go? And
10 that's a big problem because this is a lifesaving
11 therapy. It's not something that they can go
12 without.

13 So I'd like that to be taken into
14 consideration to look upon increasing that
15 reimbursement.

16 Thank you.

17 MR. SKINNER: Thank you.

18 Any other comments at this time?

19 [No response.]

20 MR. SKINNER: Great. We are a little bit
21 ahead of schedule, so we will take a break at this
22 point, and we will return at 10:50, in 15 minutes.

1 [Recess.]

2 MR. SKINNER: The committee is now going
3 to turn its attention to the final rule on bar code
4 labeling, and at this time we are going to hear
5 from Elizabeth Callaghan. She's the Deputy
6 Director of the Division of Blood Applications in
7 the Office of Blood Research and Reserve in CBER in
8 the FDA. She's the project manager for the Blood
9 Action Plan and has undertaken in CBER the
10 developing of regulations as it relates to bar
11 coding.

12 MS. CALLAGHAN: Good morning, everybody.
13 I apologize for not bringing any handouts, but I
14 have been told that the slides I have will be on
15 the Web, in case anybody needs to see them.

16 I intend to give you a short summary of
17 the bar coding rule and how it relates to blood and
18 blood components.

19 The bar coding rule is entitled "Bar Code
20 Label Requirements for Human Drug Products and
21 Biological Products." The proposed regulation was
22 published on March 14, 2003, and the final rule,

1 after reviewing comments that came to the docket,
2 was published on February 26, 2004.

3 Now, any of you who have ever dealt with
4 the FDA I'm sure realize that this is warp speed
5 for us. But you'll be surprised what one can do
6 when one has to.

7 The effective date of the rule is the 26th
8 of this month, which means within two years all
9 blood and blood components for transfusion must
10 have bar codes on them, must have machine-readable
11 information, and all human drugs must have bar
12 codes.

13 In response to a GAO report on medical
14 errors, Tommy Thompson mandated that FDA put
15 together a rule to help reduce medication errors in
16 hospitals and in other health care settings. In
17 the scope of this rule, medication errors are
18 defined as any preventable event that may cause or
19 lead to inappropriate medication use or patient
20 harm while the medication is in the control of the
21 health care professional, the patient, or the
22 consumer.

1 It requires drugs to contain bar codes,
2 allowing health care professionals to use scanning
3 equipment to verify that the right drug is going at
4 the right dose by the right route of administration, is
5 going to the right patient at the right
6 time. This is what they hope to accomplish by
7 putting these codes on the blood products and drug
8 products.

9 Now, in regard to human drug and
10 biological products, they are required to have
11 linear bar codes. They must adhere to the UCC, the
12 Uniform Code Council, or HIBCC, the Health Industry
13 Business Community Council, standards. The bar
14 code that is required to be on these drugs is the
15 national drug code number, or NDC number. These
16 numbers are the numbers given to drugs that are
17 registered with CDER, or our Center for Drugs.

18 Manufacturers are not required to put lot
19 numbers of expiration dates. They can if they want
20 to, but it is totally voluntary.

21 In regard to blood and blood components,
22 they do not have NDC numbers because they do not

1 register with CDER. They register with CBER, and
2 we do not issue NDC numbers. However, they will be
3 required to have machine-readable information which
4 is approved by the Director of CBER, and we have
5 also required specific pertinent information to be
6 encoded.

7 Prior to this rule, 606.121(c)(13) read,
8 "The container label may bear encoded information
9 in the form of machine-readable symbols approved by
10 the Director of CBER." However, with the new rule,
11 606.121(c)(13) reads, "The container label must
12 bear encoded information that is machine-readable
13 and approved for such use by the Director of CBER."

14 And I've lost my slides down here.

15 Okay. You will notice two things. We've
16 changed "may" to "must," which makes it now a
17 requirement. And we have changed "symbols" to
18 "information." We have done this to allow for
19 advancements in technology in case other systems
20 develop such as radiofrequency ID chips or other
21 technology that people would like to use as
22 identifying information.

1 And, of course, in order to embrace the
2 plain language initiative, we have expanded the
3 rule with five additional little sections. We
4 couldn't leave well enough alone.

5 Who is subject to the machine-readable
6 requirements? All blood establishments that
7 manufacture, process, repack, or relabel blood or
8 blood components intended for transfusion and who
9 are regulated by the FD&C Act or the PSH Act.

10 Part two is what blood products are
11 subject to the machine-readable requirements. All
12 blood and blood components intended for transfusion
13 are subject to the machine-readable information
14 labeling requirements of this section.

15 What information must be machine readable?
16 These are where we get into the specific
17 requirements for our blood labels. Each label must
18 have machine-readable information, at a minimum,
19 which contains the unique facility identifier--this
20 could be a registration number, a license number,
21 and for facilities using ISBT, it would be their
22 ISBT identifying number--the lot number relating to

1 the donor; the product code, telling us whether
2 it's whole blood, red blood cells, fresh frozen
3 plasma; and the ABO and Rh of the donor.

4 This section was put in to conform with a
5 section in CDER's part of the rule, and it says:
6 How must the machine-readable information appear?
7 And it must be unique to the blood or blood
8 component, it must be surrounded by sufficient
9 space so that the machine-readable information can
10 be scanned correctly, and it must remain intact
11 under normal conditions.

12 And where does this information go? The
13 machine-readable information must appear on the
14 label of any blood or blood component which is or
15 can be transfused to a patient or from which the
16 blood or blood component can be taken and
17 transfused to a patient.

18 The last part of this sentence is very
19 important. There have been several inquiries since
20 the final rule has been published asking just how
21 far down the chain, if you want to look at it, the
22 machine-readable requirements go. The answer is

1 all the way. All products for transfusion, whether
2 they're aliquots, divided units, washed cells,
3 transfusable products and syringes, pooled
4 components, anything you can think of must have
5 machine-readable information.

6 In order to keep up with the spirit of the
7 rule, you must be able to scan the product at the
8 bedside prior to transfusion. So, if you have a
9 unit of blood that is divided into syringe
10 components, those components must contain the bar
11 code information so that it can be scanned at the
12 patient's bedside.

13 I think that's it. Are there any
14 questions?

15 MR. SKINNER: Questions from the
16 committee?

17 DR. LOPES: What happens if what's read at
18 the bedside is inappropriate for the patient in the
19 bed. Is there some automatic like a bar code on
20 the patient?

21 MS. CALLAGHAN: That's a good question.
22 Unfortunately, FDA has no control over what

1 hospitals do with patients. That's really CMS's
2 call. And this is the first part of what we hope
3 will be an entire system so that hospitals will be
4 required to scan patients at the bedside and scan
5 products prior to them being infused or transfused
6 or whatever drug you're using. It is the beginning
7 of a system that we hope will be in place to
8 prevent medical errors.

9 MR. SKINNER: I have two questions. You
10 mentioned that expiration dates were optional on
11 drugs, and you didn't mention expiration dates in
12 relation to blood components, whether those will be
13 required on the bar code.

14 And the second question is how does this
15 apply to plasma products? You talked about blood
16 and blood components, but you didn't talk about
17 plasma products. So if you could address those,
18 also, please.

19 MS. CALLAGHAN: The expiration date we
20 didn't require to be bar-coded. Of course, you
21 have to have an expiration date. That is one of
22 the requirements in 606.121. But we didn't require

1 to be bar-coded because smaller facilities who may
2 divide units, who may wash units, may not have bar-
3 code capabilities so that they could put the
4 expiration date in a bar-coded form. So it must be
5 on there, but you can write it in. It doesn't have
6 to be in a bar code.

7 If more people had bar-code facilities
8 that they could use, it would be better, but,
9 unfortunately, we thought that would be too much of
10 a problem.

11 Now, I'm not quite sure what you mean by
12 plasma products. Do you mean fresh frozen plasma
13 or are you talking about IGIV and Factor VIII?

14 MR. SKINNER: The latter.

15 MS. CALLAGHAN: They are drugs, and they
16 will have to have NDC numbers. After source plasma
17 is collected and is further manufactured, it is no
18 longer a blood component. It is a biological drug
19 product, and it's registered with CDER. So they
20 are required to have the CDER NDC bar number on
21 their products.

22 MR. SKINNER: So they would fall under the

1 first part of the presentation, which doesn't
2 require lot numbers or expiration dates.

3 MS. CALLAGHAN: That's correct.

4 MR. SKINNER: And the rationale for not

5 including lot numbers and expiration dates on
6 plasma products is what?

7 MS. CALLAGHAN: I think CDER decided that
8 it was too complicated to begin with. Don't
9 forget, they also said they wanted linear bar

10 codes. The manufacturers, because this rule is
11 extensive and even includes little blister packs of
12 aspirins and one little tablet, they would not be
13 able to put the expiration date and lot number,
14 along with the NDC number, in a bar-coded form,
15 except if they used two-dimensional bar codes, and
16 CDER didn't want to go to two-dimensional bar codes
17 yet.

18 If you read the entire rule, you will see
19 that CDER intends to reevaluate this rule in

20 another 2 years and see if they should include the
21 lot number and expiration date.

22 Blood felt that we were ahead of ourselves

1 here, so we actually said machine-readable
2 information. That way we could require additional
3 information. And if people wanted to use
4 two-dimensional bar codes, as long as the director
5 of CBER approved it, that could be done.

6 MR. SKINNER: Other questions?
7 Dr. Gomperts?

8 DR. GOMPERTS: Would you comment on the
9 Agency's thinking around the actual information
10 systems or what are the requirements around those
11 systems validation.

12 MS. CALLAGHAN: That's another good
13 question.

14 CBER does review and approve BECS, Blood
15 Establishment Information Systems. However,
16 hospital information systems are reviewed or looked
17 at by the Center of Radiological Health and Devices
18 of Radiological Health. And I hesitate to say
19 this, but they really do not have any requirements.
20 They are considered waived instruments. Hopefully,
21 that will change with time as the systems sort of
22 get together and coordinate because it is very

1 important that all systems be validated, so that we
2 make sure that medication errors are prevented.

3 DR. GOMPERTS: Thank you.

4 MR. SKINNER: One final question. Has

5 CBER made any specific recommendations as to the
6 bar-code technology and what should be used?

7 MS. CALLAGHAN: If you're referring
8 specifically to ISBT, at this point, CBER has
9 approved two different bar-code technologies, ISBT
10 and Codabar. We have not made a specific
11 requirement to go to ISBT for two reasons. One, we
12 don't own the system, and if changes were made that
13 we didn't agree with, by requiring the system in a
14 reg, we would have to rewrite the reg in order to
15 not require it any more. I realize this one only
16 took a year, but it's kind of hard to backtrack on
17 something that quickly.

18 And, secondly, we didn't want to impede
19 any advancements in technology if another system
20 became available which was even better.

21 MR. SKINNER: Yes?

22 DR. LINDEN: Can we just go back to the

1 previous question?

2 MS. CALLAGHAN: Sure.

3 DR. LINDEN: Why are the bar codes for
4 plasma derivatives under CDER and not CBER?

5 MS. CALLAGHAN: Source plasma and
6 recovered plasma are products of further
7 manufacture. They are not required, under our part
8 of the rule, to have bar codes because they are not
9 transfusable products.

10 However, when they are manufactured into
11 products for infusion or whatever you're going to
12 use them for, they then become CDER's drugs. I
13 realize they are reviewed in CBER, but they do
14 register with CDER, and they have NDC numbers. So
15 they fall under CDER's regulation in requiring
16 linear bar codes.

17 DR. LINDEN: So you're saying, basically,
18 they're regulated by both centers.

19 MS. CALLAGHAN: Sort of, yes. We review,
20 and they register. I know it doesn't make sense,
21 but this is the government.

22 [Laughter.]

1 MR. SKINNER: Any other questions or
2 discussions on this topic?

3 [No response.]

4 * MR. SKINNER: Thank you very much.

5 Now, we're going to move on to the main
6 event, the primary topic for this meeting, which is
7 the "Impact and Assessment of Methods to Reduce the
8 Risk of Bacterial Contamination of Platelet
9 Products."

10 To begin our discussion, Dr. Holmberg is
11 going to review the committee's previous
12 recommendations and action on this topic in January
13 of 2003.

14 DR. HOLMBERG: Before I do that, I would
15 like to recognize that Dr. Penner has joined the
16 table, and it's good to have you with us, John.
17 Also, Dr. Midthun has replaced now Dr. Goodman, who
18 has replaced Dr. Epstein.

19 This is a very difficult subject that has
20 been around for a few years. And I do want to go
21 back, for historical purposes, to review what the
22 committee recommended in January of 2003. Bear

1 with me as I read this.

2 "The committee recognizes that the current
3 leading causes of transfusion-related fatalities
4 are: bacterial contamination of platelets,
5 homolysis primarily due to errors, and
6 Transfusion-Related Acute Lung Injury or TRALI.
7 And efforts to address these threats have been made
8 in comparison to other threats.

9 "The committee further recognizes that
10 public attention remains highly focused on residual
11 risk from HIV and hepatitis agents and, less
12 quantifiable, known and theoretical risk.

13 "The committee also finds that
14 technologies already exist that would effectively
15 reduce the risk of bacterial contamination and
16 homolysis, but there are no currently available
17 technologies to reduce TRALI.

18 "Therefore, we recommend that the
19 Secretary take steps to encourage and facilitate
20 implementation of available measures that could
21 reduce the risk of bacterial contamination and
22 prevent errors that can result in hemolytic

1 transfusion reactions.

2 "The Secretary encourages research that
3 possibly may improve the safety and extend the
4 shelf life of stored platelets and may result in
5 technologies or practices that could reduce the
6 incidence of TRALI, an ad hoc subcommittee be
7 formed to develop a process to identify and
8 evaluate residual known and unknown risk affecting
9 blood safety and, secondarily, availability, both
10 in relation to etiological agents and the processes
11 used in transfusion medicine.

12 "The subcommittee is tasked to use the
13 process as one tool, combined with other relevant
14 data, to propose prioritization of efforts by
15 government, industry and the health care system to
16 address these risks for further consideration by
17 the committee."

18 I think that recommendation says a lot.
19 It identifies clearly the three main causes of
20 transfusion risk. Today, we're going to talk about
21 the number one, which is the bacterial
22 contamination of platelets, and I think we've

1 already gotten implication of the secondary risk,
2 the hemolysis due to errors, and hopefully what
3 technology can do to help those areas as far as the
4 bar-coding and Transfusion-Related Acute Lung
5 Injury still needs to be an issue that needs to be
6 addressed.

7 The issue before us really is an issue of
8 eleventh hour concern at the Secretary's level. In
9 February, the acting assistant secretary for
10 health, Dr. Beato, was very concerned about what
11 would happen to the availability of platelets, both
12 apheresis and whole blood-derived platelets, as the
13 new standards from the accreditation of facilities
14 by the American Association of Blood Banks and also
15 the College of American Pathologists Phase I
16 requirement.

17 Dr. Beato, in the absence of data, asked
18 that this committee look at the impact and
19 assessment of methods to reduce the risk of
20 bacterial contamination in platelet products. I
21 want to make sure that everyone understands that,
22 first of all, there are people sitting around the

1 table that do have conflicts, there are members
2 here that are specific government or special
3 government employees. There's also representation
4 from other manufacturers. And as we already heard
5 from Judy, Judy made mention that--Judy
6 Angelbeck--made mention that she does work for
7 Paul, and also Dr. Brecher made his statement
8 earlier today.

9 I want to make sure that everyone
10 understands that those people that are from a
11 company are here for their subject matter expert
12 knowledge. They are not here to represent their
13 company. And so we have to make sure that there is
14 a clear distinction on that.

15 And so even to the point of recognizing
16 that, from a company's point of view, you have to
17 separate that out and make sure that you're
18 addressing the technology. We do have technology
19 here, primarily the way the charter is written, to
20 address leukoreduction, NAT, various bag
21 manufacturers, the plasma industry and the blood
22 community, the whole blood collection community.

1 I do not want this to become a session
2 where we are trying to tear apart or dissect any
3 standard that is out there or any regulation that
4 is out there or I should say requirement from the
5 College of American Pathology or the American
6 Association of Blood Banks.

7 The intent is not to review their standard
8 or their Phase I requirement. The whole idea today
9 is to review the impact and the assessment of
10 bacterial contamination. So I hope that is very
11 clear.

12 Lieutenant Commander Henry, could you
13 please put my slides up there. I would like to
14 address some of the questions that we would like
15 the committee to carefully consider as we move
16 forward in these discussions.

17 Questions:

18 Has there been an impact on the
19 availability of apheresis and whole blood-derived
20 platelets for patient use?

21 Has there been a shift in type of
22 platelets available? If so, has there been a shift

1 in economics as a result of the implementation of
2 methods to reduce the risk of bacterial
3 contamination and platelet products?

4 Has detection of bacterial contamination
5 of whole blood-derived platelets been limited to
6 hospitals?

7 Has the endpoint method to detect
8 bacterial contamination of whole blood platelets
9 been sufficient for sensitivity and specificity?

10 Does the federal government need to
11 establish policies for methods for reduction of
12 bacterial contamination and platelet products?

13 Are data sufficient to establish such a
14 policy?

15 Is there additional research that needs to
16 be conducted in the area of methods for reduction
17 of bacterial contamination and platelet products?

18 I know that's a lot. What we'll do is
19 we'll come back to those when we have our
20 discussions.

21 Was there a question?

22 MS. LIPTON: Yes. I just wanted to

1 suggest, I know we're looking at policies, but I
2 also think if we could add a question about
3 assistance from some of the agencies, and
4 specifically FDA, in addressing some of the needs
5 that might help the implementation of this
6 standard, I think that would be a very productive
7 discussion.

8 DR. HOLMBERG: Okay. I will get back to
9 you with that question, formerly on that question.

10 MR. SKINNER: Thank you.

11 Our first presentation on the topic, then,
12 will be from Dr. Kathleen Sazama. She's president
13 of the AABB, and she will present on the AABB
14 standards. In her professional capacity, Dr.

15 Sazama is a professional of laboratory medicine at
16 the University of Texas and M.D. Anderson Center in
17 Houston, but today I believe she's presenting as
18 president of the AABB.

19 * DR. SAZAMA: Thank you, Mr. Skinner, and
20 thank you, Dr. Holmberg, for the invitation to
21 provide this information to the committee.

22 Just to put us in perspective, for

1 decades, bacterial contamination has been
2 recognized as a significant risk associated with
3 room temperature storage of platelets. As the
4 blood banking community has succeeded in reducing
5 the other obvious infectious risks of transfusion,
6 the magnitude and relative importance of bacterial
7 contamination of platelets has become more
8 apparent. In fact, platelet bacterial
9 contamination has long been recognized as the most
10 common infectious risk of transfusion therapy.

11 The risks listed here is that from
12 published literature in 1 in 1,000 to 1 in 3,000
13 platelets transfused. And over the course of
14 several decades, it has been the second leading
15 cause of death from transfusion, as reported to the
16 FDA, with mortality rates approximating 1 in 60,000
17 transfusions.

18 The agencies themselves have been
19 concerned about bacterial contamination, and the
20 FDA has taken steps over the years, over more than
21 a decade, a decade-and-a-half now, to highlight
22 this issue.

1 In 1986, upon a recommendation from BPAC,
2 the 7-day storage of platelets was reduced back to
3 5 days after only a year or so because of a concern
4 about bacterial sepsis and deaths related to them.

5 There have been no less than four
6 subsequent BPAC meetings, in which concerns over
7 bacterial contamination have been identified.

8 In 1992, the CDC also weighed in,
9 recommending improved surveillance for this very
10 important cause of transfusion risk.

11 And in 1995, '99, and 2002, FDA conducted
12 workshops on this very important issue.

13 In 1998, the CDC also weighed in with a
14 very important study, the BaCon study, which was
15 looking at voluntary reporting for this issue.

16 In 2002, the FDA actually approved two
17 devices: the BacT/Alert culture bottles and the
18 Pall Bacterial Detection System.

19 So these together provide an impetus for
20 us to understand that this is a significant problem
21 and the agencies have long recognized this.

22 Now, there has been a parallel effort, and

1 in August of 2002, the FDA conducted a workshop on
2 pathogen reduction. And during the course of this
3 workshop, it became increasingly apparent that the
4 imminent introduction of technologies aimed at
5 reducing or inactivating pathogens was not likely.

6 Therefore, as was stressed in the open
7 letter to the transfusion medicine community,
8 written by some of the nation's leading transfusion
9 medicine physicians, including some who are or have
10 been members of this committee, the need to act on
11 bacterial detection became even more pressing.
12 These physicians called for the blood collection
13 committee to immediately initiate a program for
14 detecting the presence of bacteria in units of
15 platelets.

16 This plea was recognized, and the leading
17 experts on AABB's committees identified bacterial
18 contamination as a priority issue on which AABB
19 should focus in order to improve patient care in
20 the field of transfusion medicine.

21 Both the Clinical Transfusion Medicine
22 Committee, which is comprised primarily of

1 physicians working in hospital transfusion services
2 or other departments who actively treat patients
3 needing transfusions, as well as the
4 Transfusion-Transmitted Diseases Committee, which
5 is a group of volunteer experts in the field of
6 transfusion-related infectious risks, together,
7 agreed that this was an area of concern that the
8 AABB board of directors should act on as an
9 important patient care issue.

10 In light of the science regarding this
11 important health risk, these two committees worked
12 with the AABB Standards Committee to develop a
13 proposed standard to reduce the risk of bacterial
14 contamination of platelets.

15 As initially proposed in November 2002,
16 AABB's new standard would have required blood banks
17 or transfusion services to have a method or methods
18 to detect bacterial contamination in all platelet
19 products. As is AABB's general practice, the
20 standard did not prescribe any particular method by
21 which facilities would meet this standard. With
22 the introduction of this proposed standard, AABB

1 embarked on a one-and-a-half-year mission to
2 understand, and address the concerns of and to
3 educate our members about this critical patient
4 care need.

5 In December 2002, AABB published an
6 association bulletin, which is our means to provide
7 information to members, providing helpful
8 background information regarding bacterial
9 contamination and outlining possible means of
10 addressing this safety issue. This bulletin
11 included an annotated bibliography of the published
12 literature on this topic and was meant to assist
13 members to a better understanding of the complexity
14 of the issues surrounding bacterial contamination
15 and to help them to develop productive comments to
16 AABB's proposed standard. And, in fact, a comment
17 period by our members is part of the usual process
18 for creating new standards.

19 In response to these measures, the AABB's
20 Blood Bank Transfusion Service Standards Program
21 Unit received more than 50 comments. The AABB
22 appreciated these thoughtful comments and thinks

1 that this type of scientific debate contributes
2 positively to our standards development process and
3 the resulting standards.

4 So the board of directors carefully
5 considered the public comments we received and the
6 advice of AABB's Blood Banks and Transfusion
7 Services Standards Program Unit. In particular, we
8 had extensive discussions about requests, that we
9 limit the standard to requiring culturing of
10 apheresis platelets only. The board thought that,
11 as a matter of patient care, it was critical that
12 bacterial contamination of both plateletpheresis
13 and whole blood-derived platelets be addressed.

14 However, recognizing the complexities of
15 this issue and the difficulties that some
16 institutions might face in implementing the
17 standard, the board agreed to allow an extended
18 implementation period to March 1, 2004, instead of
19 to the date the rest of the standards were
20 implemented, which was November 2003.

21 In sum, the new standards address two
22 areas related to bacterial contamination. Either

1 the blood bank or the transfusion service would
2 have to implement methods to limit and detect
3 bacterial contamination, and steps had to be taken
4 to minimize the risk of bacterial contamination at
5 the venipuncture site. Specifically, green soap
6 would not be permitted.

7 In March 2003, a year before the
8 implementation date, AABB announced this final
9 standard. Again, AABB wrote to our member
10 institutions outlining potential ways to meet the
11 standard. Later, another association bulletin was
12 produced in August and another in October 2003,
13 both again providing background and suggestions for
14 means by which the standard could be implemented.

15 In addition, a CD was developed to
16 demonstrate how swirling could be detected, which
17 is a longstanding method of looking for gross
18 bacterial contamination.

19 As mentioned by Dr. Holmberg, less than a
20 week before the standard was scheduled for
21 implementation, specifically on February 26th,
22 2004, the acting assistant secretary for health,

1 Dr. Christina Beato, wrote to AABB requesting that
2 we delay the March 1st implementation of this
3 bacterial contamination standard, and the concerns
4 that she outlined are listed here and should
5 certainly be considered.

6 The list includes QC methods applicable to
7 pre-released testing, potential extension of
8 platelet dating, pooling of random donor platelets,
9 and surveillance and reporting protocols for
10 positive test results.

11 Given the fact that this standard had been
12 proposed almost a year-and-a-half prior to this
13 date, and that AABB had provided considerable
14 opportunity for public comment, it came as a
15 surprise to us that HHS would make this request at
16 such an extremely late date. Prior to this time,
17 HHS had not raised any official concerns about this
18 standard and, in addition, there are government
19 liaison personnel who sit or sat on all of the AABB
20 committees that helped develop the standard and
21 none of them voiced any concern during the
22 extremely deliberative process of drafting this

1 standard.

2 After considering this request from the
3 assistant secretary, AABB's board decided it would
4 not be in the best interests of transfusion
5 patients to delay implementation of this standard.
6 Again, members of the blood banking community, both
7 in the public and private sectors, had known for
8 years that bacterial contamination of platelets
9 posed a serious risk to transfusion recipients.

10 AABB recognizes that we need to do
11 considerably more work to ensure that our standard
12 is implemented in an effective manner that improves
13 patient care without jeopardizing supply. As part
14 of our standard implementation plan, we have done
15 or plan to do the following to educate our members:

16 We have issued flow charts to assist
17 members preparing for AABB assessments. We have
18 published, and will continue to publish, articles
19 in Weekly Report and other standard AABB
20 publications about new issues as they arise about
21 this standard. AABB staff are always available to
22 answer questions from any members. Obviously, if

1 the need arises, additional association bulletins
2 will also be published.

3 Tomorrow, I plan to share with the
4 committee additional information about steps AABB
5 is taking to collect data and identify important
6 issues surrounding the implementation of this
7 standard. There is no clear or easy path to be
8 taken to reduce the risk of bacterial contamination
9 of platelets. Rather, the Transfusion Medicine
10 Committee is faced with a complex web of issues we
11 need to address. However, just because there is no
12 easy answer doesn't mean we shouldn't act. Our
13 patients deserve more.

14 AABB strongly believes that the new
15 bacterial contamination standard will help improve
16 patient care and save lives. We believe that we
17 should stop holding our patients hostage by
18 allowing the perfect to be the enemy of the good.

19 Unfortunately, in the absence of
20 regulations or standard setting, and in the face of
21 limited reimbursement, there has been, and is,
22 little incentive to invest in blood safety advances

1 such as this. AABB believed it was our
2 responsibility to act to serve our patients, even
3 if the FDA had not acted yet in this regard.

4 It is also interesting to note that since
5 the publication of our standard, there has been an
6 increased willingness by companies to consider
7 developing technologies to reduce the threat of
8 bacterial contamination.

9 Thank you.

10 MR. SKINNER: Are there any questions at
11 this time from the committee? As Dr. Sazama
12 mentioned, she will be returning tomorrow to talk
13 on additional aspects of this, but there may be
14 some issues on what she's presented now.

15 Yes, Judy?

16 DR. ANGELBECK: Kathleen, since one of the
17 list of questions that Jerry listed in the
18 deliberations that AABB had for their various
19 committees, did you discuss this shift in the type
20 of platelet product available? And I assume that
21 means more apheresis versus the whole blood
22 derived.

1 DR. SAZAMA: Certainly, the data, with
2 respect to availability of platelets and the
3 pattern of use of apheresis versus whole blood
4 derived platelets was considered and considerable
5 discussion ensued about that. Currently, the data
6 are about 75 percent of platelets are transfused as
7 apheresis platelets, 25 percent still as whole
8 blood-derived platelets, and certainly that
9 represents a significant minority of the use. So,
10 yes, consideration was given to that potential.

11 DR. ANGELBECK: Did the committee
12 deliberations think that there would be a shift
13 toward a greater proportion of apheresis used?

14 DR. SAZAMA: I can't speak to the
15 committee's thinking on that.

16 MR. SKINNER: Other questions?

17 Yes, Lola?

18 DR. LOPES: Do I understand correctly that
19 HHS was thinking about moving the storage back to 7
20 days from 5, where you said potential extension of
21 platelet dating?

22 DR. SAZAMA: Those were the concerns that

1 were identified by Assistant Secretary Beato in the
2 letter that she sent to AABB, but that was among
3 the considerations that should be looked at.

4 DR. LOPES: I was sitting here wondering
5 what are the factors that would be involved in even
6 trying to reduce the shelf-life requirement from 5
7 days to something smaller. It seems that the
8 smaller that shelf life is the lower the chances of
9 contamination or at least reproduction are.

10 DR. SAZAMA: I think you really would
11 address a question of availability of the shelf
12 life were shortened to 4 or 3 days from 5 days.
13 Those of us who have been around long enough to
14 remember those days, inventory would be a terrific
15 challenge if a shortening of that shelf life would
16 occur. I think that was part of the measure that
17 looked at, well, can we do something else? Could
18 we look at the threat? Which is with older
19 platelets, the data seemed to suggest that there
20 would be a greater risk to patients from bacteria
21 that might be incubating in those bags. And so
22 looking at that as the measure to both balance

1 supply and safety was part of the thinking that
2 went into the standard setting.

3 MR. SKINNER: Other questions?

4 [No response.]

5 MR. SKINNER: Thank you.

6 The next presentation will be by James
7 AuBuchon. Dr. AuBuchon will be presenting the
8 College of American--be presenting on behalf of the
9 College of American Pathologists, the Phase I
10 Requirement on Bacterial Testing of Platelets and
11 Implementation of Methods to Reduce Bacterial
12 Contamination of Platelet Products.

13 * DR. AuBUCHON: Thank you. Thank you for
14 the opportunity to present my views on how this
15 country has succeeded and fallen short in
16 addressing the problem of bacterial contamination
17 of blood components, particularly platelets.
18 Before I begin my remarks on this subject, I would
19 like to clarify for whom I am and am not speaking.

20 I am a member of many professional
21 associations and active in the governance of
22 several. I am not speaking on behalf of the

1 American Association of Blood Banks. Dr. Kathleen
2 Sazama, AABB president has already ably expressed
3 the actions and intentions of that organization.

4 The Clinical Transfusion Medicine
5 Committee of the AABB, which I chaired last year,
6 identified, along with the Transfusion-Transmitted
7 Diseases Committee, bacterial contamination of
8 platelets as the leading cause of
9 transfusion-recipient morbidity and mortality. I

10 am pleased that the AABB's member institutions are
11 taking positive steps to reduce this risk, nor
12 should my remarks be taken as the official
13 statement of the College of American Pathologists.

14 As the chair of the Transfusion Medicine
15 Resource Committee of the CAP, I have been closely
16 involved with the issue of bacterial detection in
17 platelet units, and I am pleased that the
18 accreditation program of the college addresses this
19 issue directly. I would refer the committee
20 members to the written statements submitted by the
21 CAP.

22 I would now like to take a few moments to

1 make it clear that I am not representing any
2 commercial entity. On this slide, I have listed
3 all of the support that my laboratory has received
4 in the last decade for research and development
5 activities related to bacterial contamination of
6 blood components. As you can see, the slide is
7 blank.

8 [Laughter.]

9 DR. AuBUCHON: While we have been active
10 in the field and have been able to make a variety
11 of contributions, none of this work has had any
12 commercial support.

13 For example, when our medical center
14 undertook culturing of all platelet units in 1999,
15 we did that because we felt it was an important
16 addition to our procedures that would improve
17 recipient safety. The preliminary validation
18 protocol was undertaken using uncommitted reserves,
19 and the ongoing procedures are supported by the
20 medical center's operating budget. The money,
21 about \$30,000 a year has been well spent--we will
22 get to that later--but no direct or in-kind

1 assistance came from any commercial source.

2 On this slide, I have listed all of the
3 biomedical companies in which I hold or have held
4 equities or salaried positions at any time. As you
5 can see, this slide is also blank. There are none.

6 I have served as a consultant to a variety
7 of commercial entities that do business in the
8 field of blood banking. A number of these
9 companies have an interest in detecting

10 microorganisms that may be contaminating units of
11 blood, although my input to these companies has
12 extended to many other fields as well. Because
13 these consultations take some of my time, I am
14 compensated. However, none of the compensation is
15 in the form of stock options or other equities, as
16 I do not want my thoughts or future advice tainted
17 by financial implications. In these interactions,
18 I try to speak my mind and let the chips fall where
19 they may.

20 To illustrate this, I note that I have
21 served as a consultant to both Hemasure and Pall,
22 both of which were involved in the manufacture and

1 sale of leukoreduction filters. As this committee
2 is aware, I believe that the most appropriate use
3 of leukoreduction technology is selectively;
4 directing it toward those patients in whom it
5 offers demonstrated benefits.

6 I was speaking out in opposition to the
7 universal amplification of leukoreduction at the
8 same time these companies were supporting it. I
9 felt entirely unencumbered in offering my views
10 publicly, and they appeared to appreciate my
11 honesty. I am an academic, and my stock in trade
12 is open and forthright expression of what I believe
13 is the most appropriate approach to solving a
14 problem. Bending my opinions for financial gain
15 would be counterproductive.

16 So, then, why am I so passionate about the
17 quality of what's in this bag? It's because these
18 platelets go into patients over 4 million times
19 each year in this country alone. And these are not
20 abstract recipients. They are real patients, real
21 people who deserve the best that I can provide for
22 them. The soapbox that I have been wearing out

1 over the last few years has been dedicated to
2 focusing our attention on the biggest risks in
3 transfusion and then identifying ways to reduce
4 them.

5 Over the last 2 decades, we have had
6 enormous and enormously gratifying success in
7 reducing the risks of the diseases the public
8 understands and knows.

9 The low level of viral risks that we have
10 achieved should allow us to focus on other larger,
11 persistent problems that we haven't dealt with yet:

12 Problems such as missed transfusion that
13 has continued to occur at the same rate for half a
14 century and kills two dozen patients in this
15 country every year and that most hospitals think or
16 hope only happens somewhere else;

17 Or transfusion-related acute lung injury,
18 thought to occur every 5,000 transfusions, with a
19 fatality rate that claims at least 50 to 100
20 patients annually, but for which we don't yet have
21 a good answer;

22 Or the topic of today's discussion,

1 bacterial contamination of platelets, which occurs
2 at about 1,000 times the frequency of HIV
3 transmission and which leads to the death of more
4 than 100 patients every year in this country.

5 It is comparisons such as these that
6 motivate me to try to improve the transfusion
7 support that we provide.

8 Steps to limit and detect bacterial
9 contamination carry the burdens of cost and
10 logistic complexity. Are they worth it? Let's
11 compare this risk to others this committee has
12 discussed in the past.

13 West Nile Virus was recognized as a
14 disease transmissible by transfusion in 2002.

15 According to reports to the FDA, five transfusion
16 recipients died due to meningoencephalitis in FY
17 '02 because we had no way to test the blood supply
18 then for this virus.

19 As a result, special deferral criteria
20 were implemented in an attempt to identify infected
21 donors. A massive push was initiated by the FDA
22 and several companies to develop a nucleic acid

1 amplification test, and this entire effort,
2 culminating in a precipitous implementation of a
3 nationwide clinical trial, received substantial FDA
4 oversight, assistance and encouragement.

5 In that same year, the Agency received
6 reports of 17 deaths due to bacterial contamination
7 of blood components. As this committee has heard
8 previously, this is undoubtedly an underreporting
9 because of the difficulty in recognizing the cause
10 of infection in the thrombocytopenic and
11 neutropenic patient. The true number is probably
12 tenfold higher.

13 Another comparison: The first issue
14 brought to this committee after its creation was
15 that of HCV look-back. This was finally
16 implemented as a substantial undertaking by blood
17 suppliers, and hospitals and physicians, with a
18 cost in excess of \$330 million. Unfortunately,
19 benefit was restricted to well less than 1 percent
20 of those notified, approximately 2,200 patients
21 from over 10 years of transfusion. Note that not
22 all of those benefitting would have necessarily

1 died or suffered ill effects from their HCV
2 infection.

3 Bacterial detection in platelets, on the
4 other hand, offers the potential of avoiding the
5 deaths of several hundred patients every year or
6 several thousand over a 10-year period. Clearly,
7 tackling the problem of bacterial contamination is
8 a worthwhile endeavor.

9 This point is driven home by the
10 experience reported from Johns Hopkins. The rates
11 of post-transfusion death after transfusion with
12 apheresis, that is, single-donor platelets, is
13 huge--14 per million--and that after transfusion
14 with platelet concentrates derived from whole blood
15 units is astounding--62 per million units.

16 Given that about 50 to 60 percent of
17 platelet transfusions in the U.S. are currently
18 apheresis units, these data would predict more than
19 100 patient deaths annually from bacterial
20 contamination.

21 However, for a long time, I have heard,
22 "But we have never seen a case here." Recognition

1 of bacterial contamination is difficult because of
2 the kinds of patients who usually receive
3 platelets. Most of them also lack enough white
4 cells to fight infections, and they have frequent
5 fevers. So the cause of a fever or even sepsis
6 after transfusion is usually not recognized.

7 For example, in a study of over 3,500
8 platelet transfusions, patients and units were
9 cultured when there was a 2-degree rise in
10 temperature or a 1-degree associated with other
11 symptoms after a transfusion. Fully 1 percent of
12 all transfusions met these criteria, and 10 cases
13 of bacteremia and 4 cases of sepsis were uncovered.

14 Most telling to me were the observations
15 that a 1-degree temperature rise and symptoms was
16 associated with a contamination rate of 27 percent,
17 and a 2-degree rise was associated with a
18 contaminated unit 42 percent of the time.
19 Bacterial contamination is not a rare problem. It
20 is a common problem that is rarely recognized.

21 Once the problem was recognized for its
22 magnitude, some felt there was no good way to deal

1 with it. Culturing techniques were foreign to
2 blood bankers and did not provide an instantaneous
3 answer, leaving the potential for having to recall
4 a unit, after it had been sent to a hospital or
5 transfused. Blood centers were concerned about
6 having to utilize recall systems that might not
7 interdict a hot unit before transfusion. However,
8 these techniques have come to be adapted and
9 adopted, particularly for apheresis units.

10 The promise of a rapid post-storage
11 detection system remains in the future, leaving
12 hospitals that transfuse platelets derived from
13 whole blood units to use microscopy-based
14 techniques or applying urine dipsticks to detect
15 biochemical changes in infected units. Not only
16 are these techniques much less sensitive than
17 culturing, but they put hospital transfusion labs
18 in the position of qualifying a unit for
19 transfusion, a position they are unused to.

20 Hospital transfusion services would rather
21 that blood centers take the responsibility for
22 qualifying a whole blood-derived platelet unit for

1 transfusion, and blood centers feel that they do
2 not have the right tools to address the problem
3 with these kinds of units.

4 As a result, although apheresis units are
5 generally being cultured today by the blood centers
6 that collect them, whole blood-derived platelet
7 units are not being cultured, but are being
8 examined by techniques that are much less sensitive
9 and more likely to give false positive results as
10 well.

11 The College of American Pathologists and
12 the AABB came to recognize the importance of the
13 problem and the potential of the means available to
14 address it. Around the same time, in the fall of
15 2002, both adopted requirements that steps be taken
16 to detect bacteria and offered guidance regarding
17 methods that could be utilized to do so. So the
18 U.S. has begun to test its platelet supply for
19 contaminating bacteria several years after this has
20 become commonplace in much of Western Europe, but
21 we are finally underway.

22 Probably because of the long lead time

1 given to blood centers holding AABB accreditation,
2 and because of the variety of techniques available
3 for hospital use, the implementation appears to
4 have gone quite well. The CAP has not received
5 reports of difficulties associated with the
6 implementation of bacterial testing, and in the
7 past year, the requirement for testing was being
8 met in 97.4 percent of all laboratories inspected
9 by the CAP.

10 So far so good, but there are still
11 several important impediments to expanding the most
12 sensitive testing technique currently
13 available--culturing--to all units of platelets.

14 Culturing is usually performed on the day
15 after collection in order for the bacterial
16 inoculum to multiply to the point that it can be
17 detected in a small sample.

18 Based on the work in our lab, and that of
19 Dr. Brecher's, a culture that is truly positive,
20 with the most commonly encountered contaminants,
21 can usually be found to be detected in 12 to 20
22 hours. Therefore, most blood centers hold the

1 units for 24 hours after culturing before sending
2 them to hospitals in order to prevent them having
3 to recall a unit from the hospital or, even worse,
4 having to deal with the transfusion of a unit that
5 had bacteria in it.

6 Based on their experience with
7 implementation of nucleic acid testing several
8 years ago, blood centers want to stay away from the
9 problems associated with trying to track down a
10 unit that has been released to a hospital or issued
11 to a patient for transfusion.

12 An impediment to the success of this
13 system, now generally being used for apheresis
14 units, would be an expectation that a cultured unit
15 be made immediately available for release. Blood
16 bankers understand that the culture systems on the
17 market have been approved for QC use only and that
18 no claims can be made about sterility.

19 Were the FDA to attempt to prohibit blood
20 centers from hanging onto a cultured unit for 24
21 hours, the system would suffer significant
22 disruption, and some patients would receive units

1 that would subsequently shown to be contaminated.
2 That just doesn't make sense. Blood centers should
3 be allowed to use these techniques in the most
4 logical, efficient and effective manner without
5 regulatory interference that holds the potential to
6 decrease patient safety.

7 The limitation of storage of platelets to
8 5 days still applies, however. So the additional
9 time taken up with culturing, and then holding the
10 unit to ensure that it isn't really contaminated,
11 takes 1 to 2 days off of an already short 5-day
12 storage time. That could cause a shortage or
13 increase the wastage rate due to increased
14 out-dating. However, most centers that have
15 adopted culturing as their method of detection have
16 coped with this problem successfully, but at
17 significant expense.

18 Ever since the field of blood banking
19 began to recognize that there was a way to deal
20 with bacterial contamination, it saw reextension of
21 the storage period as an important side benefit of
22 culturing. Do platelets stored for 7 days work?

1 Yes. In fact, the collection and storage systems
2 currently available appear to be better than those
3 that were approved for 7-day storage in the early
4 1980s.

5 The FDA has already indicated to two
6 different companies that their systems can be used
7 to store platelets for 7 days, as soon as the
8 Agency licenses a bacterial detection system, and
9 that's where the rub occurs. To license a culture
10 system, the Agency is requiring a clinical trial
11 with two cultures of every unit--one at the front
12 end of storage and one at the back end--to document
13 the sensitivity of the culturing technique early in
14 storage.

15 That part of a trial protocol makes sense.
16 The problem stems from the numbers. The Agency is
17 looking for ironclad statistical proof, and that
18 implies performing the trial on over 50,000 units
19 at a cost of over \$2 million. The manufacturers of
20 culture systems have nothing to gain in this, and
21 they have been unwilling so far to pay for the
22 trial. A price tag of \$2 million, and the

1 consumption in a trial of \$50,000 units of
2 platelets are expenses that the nation's
3 funding-starved blood transfusion system can ill
4 afford.

5 Another impediment to success is the
6 prohibition of storing pools of whole blood-derived
7 platelets for longer than 4 hours. This means that
8 pooling can be performed only immediately before
9 transfusion. This stems from a concern, never
10 fully validated, that a more dangerous inoculum
11 could arise if bacteria were given a larger volume
12 into which to multiply during storage.

13 As a result, each unit must be cultured
14 individually if culture is used as the detection
15 system. This increases the expense of materials
16 for culturing sixfold. More importantly, it
17 increases the workload to the point that many blood
18 centers just don't have enough staff to attempt
19 this.

20 Also, the volume to culture, whatever that
21 minimum is--usually, around 5 to 10 mls, must be
22 taken from each unit. That's a minuscule

1 proportion of an apheresis unit, but it represents
2 10 percent of the small whole blood-derived units.
3 Thus, this approach reduces the efficacy of
4 transfusion by a similar proportion, an undesirable
5 effect.

6 As I said, the Europeans have been using
7 these techniques for some time, and they have
8 evolved an efficient system. It is common
9 practice, in most Western European countries, to
10 pool platelets made from whole blood units on day
11 one and take a single culture at that point. Great
12 economic and logistic economies are achieved by
13 this approach, and these allow the Europeans to
14 culture their whole blood-derived platelets, unlike
15 in the U.S.

16 It also allows for simple and efficient
17 prestorage leukoreduction, since a single filter,
18 rather than six, can be used. Because of the
19 culturing, European blood bankers are then allowed
20 to store cultured, pooled platelet units for 7
21 days. This system is light years ahead of what we
22 are doing in this country. My European colleagues,

1 frankly, laugh at our using dipsticks and gram
2 stain to try to find bacteria in platelets. "Don't
3 you know that these techniques are insensitive?"
4 they say. "Why don't you just pool and culture
5 like we do?" Indeed.

6 Some investigators have generated data
7 that allow us to see just how good the
8 culture-based detection systems are. Dr. Gail Rock
9 has published the results of a double-cultured
10 study constructed similarly to the manner that is
11 being discussed for this country.

12 Her hospital utilizes platelet units
13 derived from whole blood. When they arrived at her
14 facility, they were individually cultured by the
15 Pall BDS. Although they were eventually transfused
16 in pools usually of five or six units, each unit
17 was cultured individually since it was not allowed
18 to pool them. This early culture would be
19 considered the test culture.

20 Just before issuance for transfusion, the
21 units were pooled and a culture was performed
22 again, this time using standard microbiologic

1 techniques. This would be considered the most
2 sensitive or control culture, since the additional
3 storage time would presumably have allowed any
4 contaminating bacteria to grow to a higher
5 concentration and not escape detection.

6 A negative culture at the later time
7 allows one to verify that the test culture was
8 indeed accurate when it did not identify any
9 bacteria in the units. Twelve thousand sixty-two
10 units were cultured in the Pall BDS, and four were
11 found to be contaminated with bacteria, a rate of
12 3.3 per 10,000 units. An additional pool was found
13 later to be positive, indicating a residual risk of
14 0.8 per 10,000.

15 I should also note that the Pall BDS has
16 been improved since the time of this study. Also,
17 as part of this study, I would note that storage
18 was extended to 7 days, and as has been seen in
19 Europe, the 7-day-old platelets provided good
20 clinical support.

21 So the detection system was not perfect,
22 but what had been gained?

1 Culture early in storage detected 3.3
2 contaminated units per 10,000, and culture later
3 found an additional 0.8, indicating that the total
4 contamination rate was approximately 4 per 10,000
5 or 1 per 2,500 units, well within the range that
6 others have reported.

7 The protocol used detected 80 percent of
8 the contaminated units. That is good. What
9 worries me is the question, if these platelet units
10 had not been cultured, how many would have been
11 detected as contaminated? A year ago in this
12 country, the answer would have been zero. With the
13 insensitive techniques now generally being used for
14 whole blood-derived platelets, the answer is
15 probably closer to zero than to 3 or 4 per 10,000.

16 The culture-based systems clearly have the
17 ability to detect bacteria. That is why they were
18 approved by the FDA. Unless they can be applied to
19 pools of whole blood-derived platelets, however,
20 and unless we can extend the storage time of these
21 units, I fear that this more sensitive approach
22 will not be widely used, and patients receiving

1 whole blood-derived platelets will not have the
2 benefit of the technology that is currently
3 available.

4 So prestorage pooling is clearly an
5 important link in extending culturing to whole
6 blood-derived platelets, but extension of the
7 storage of cultured units is more than just an
8 academic concern. If all platelet transfusions
9 were cultured, apheresis units individually and
10 whole blood-derived units by pools, it would cost
11 perhaps \$30 million per year. This implementation
12 would have health benefits, saving a substantial
13 number of lives each year and saving the cost--
14 about \$6 million--of treating the infections that
15 would have been caused by the contaminated units.

16 With the extension of platelet storage
17 that could occur, there are savings to be realized
18 as well. Currently, 17 percent of all platelet
19 units outdate before they can be used. Based on
20 this country's experience in the early 1980s, when
21 platelet storage was authorized, briefly, to be 7
22 days, the outdate rate should drop by at least

1 two-thirds. This would save about 100,000 doses of
2 platelets a year or more and result in savings to
3 the blood production system that would cover the
4 cost of performing the cultures all by itself even
5 before considering the treatment costs that would
6 be saved.

7 Furthermore, those donors who were
8 donating by apheresis and were no longer needed,
9 could be redirected into red cell donation programs
10 to alleviate the increasing shortage of red cell
11 units, another benefit for the system and for
12 patients.

13 At the moment, though, it feels to blood
14 bankers as if we are stuck in molasses. We are
15 trying to do the right thing in culturing units,
16 but we can't make the logistic changes in the
17 system that are necessary in order to extend
18 cultures to all units. What I would like to see is
19 the entire field, the regulated and the regulators,
20 work together to address these problems. We need
21 to focus on the big picture of recipient safety
22 and, as you heard before, not let the perfect be

1 the enemy of the good.

2 We can make scientifically reasonable
3 inferences from in vitro data and from the
4 experiences of Europeans and those who were onto
5 this problem first to see what needs to be done.

6 What should be our goals?

7 First, every platelet unit should be
8 subjected to a bacterial detection test that is
9 sensitive. At the moment, that means culturing,
10 although other techniques may become available in
11 the future. To do this, we need to get approval
12 for using testing systems in manners that will
13 cause the least disruption to our platelet supply
14 system. That means we need approval for prestorage
15 pooling and for extension of storage to 7 days.

16 I first proposed a trial to document the
17 sensitivity of culturing 4 years ago. Others have
18 taken up the mantle since then, but we still
19 haven't gotten started because of the enormity of
20 the trial that is being required. We all want to
21 improve recipient safety.

22 I would ask that the federal government

1 congratulate blood bankers for taking the lead and
2 imposing a requirement on themselves that will
3 benefit patients. I would ask that the federal
4 government assist us to get to where we need to be.

5 Ladies and gentlemen, if we were
6 transmitting HIV to several hundred or even 10
7 transfusion recipients each year, solutions to the
8 problem would be sought at a fever pitch. There
9 would be congressional inquiries into the problem,
10 and the full attention of the FDA and this
11 committee would be directed at the issue.
12 Thankfully, we don't have that problem. Instead,
13 we have several thousand patients who are suffering
14 needless bacterial infections and several hundred
15 patients who are dying from a problem that we have
16 the means to address effectively.

17 The blood bankers are on top of this. We
18 are doing all that we can to limit and detect
19 bacterial contamination. We need the assistance of
20 the federal government to improve what we are doing
21 to limit the chance of the very problem the
22 assistant secretary was worried about and to

1 accomplish all of this at the smallest expense. I
2 believe that our patients and the taxpayers deserve
3 no less.

4 Thank you.

5 MR. SKINNER: Questions or comments from
6 the committee?

7 Yes, Dr. Wong.

8 DR. WONG: I just have a quick question.
9 Is there inoculum size or dose every time you
10 culture on day one that relates to disease
11 morbidity and mortality, given that most of these
12 patients are on antibiotics?

13 DR. AuBUCHON: Well, certainly, the larger
14 the inoculum that is taken from the unit, at least
15 up to some reasonable level, will increase the
16 sensitivity of the technique. There was a paper
17 published 2 years ago which questioned the
18 sensitivity of culturing, but they used a very
19 small inoculum. Most centers that are using a
20 culturing system today are using somewhere around 5
21 mls. The Pall BDS has a defined volume that is
22 withdrawn for culture, but those that are using a

1 bottle-based culture system are generally culturing
2 5 to 10 mls. That seems to give a very good
3 sensitivity.

4 What the FDA would like to see is a
5 double-culture study in order to document that
6 sensitivity, and that's really the only way that it
7 could be documented in a real-world situation.
8 There have been numerous studies where units have
9 been spiked--sterile units have been spiked--with
10 bacteria, and then small volumes, between 3 and 5
11 mls, have been taken out on day one to culture.
12 These have indicated that the systems that are
13 approved for QC use are indeed sensitive. And it
14 was on the basis of those data that the FDA issued
15 that approval.

16 MR. SKINNER: Other committee questions?

17 Dr. Midthun.

18 DR. MIDTHUN: Karen Midthun, FDA.

19 I guess I would just like to make a few
20 general comments and just say that obviously we are
21 listening here with great interest. I think this
22 is a complex problem, and we have really come here,

1 together with the other PHS and other agencies, to
2 hear all of the information, gather as much data as
3 we can and all, hopefully, work together to figure
4 out what the best way forward is.

5 MR. SKINNER: Other questions?

6 DR. KUEHNERT: I wanted to commend you on
7 an outstanding presentation, and I would echo
8 sentiments that this is an extremely complex issue
9 that people have been talking about for literally
10 decades, and it is an issue that absolutely needs
11 to be addressed.

12 I agree with the phrase we have heard
13 multiple times already today to not let the perfect
14 be the enemy of the good, but I would extend that
15 to also mean that there are some issues that still
16 need to be addressed, even beyond just the task of
17 a method for detection, and we will get into some
18 of those issues later in this committee meeting.

19 I want to ask you a specific question
20 about some data I wasn't familiar with from Dr.
21 Rock concerning screening of pooled platelets. Do
22 you happen to know the organisms that were

1 identified in those four cultures that you
2 mentioned? And I'm wondering if some of those
3 could have been false positives, whether here was
4 an evaluation of false positives as well as false
5 negatives.

6 DR. AuBUCHON: If I recall the study, the
7 units that were found to be positive on initial
8 screening with the Pall BDS were cultured
9 individually again in order to document whether or
10 not there was truly a contaminant.

11 Initially, there were six units that gave
12 a positive signal in the test system. One of them
13 could not be recultured for reasons that I don't
14 remember, and one of them, on reculture, was
15 sterile. So it was assumed that that was a false
16 positive, leaving the four true positives. If I
17 recall correctly, they were all skin organisms--so
18 one a bacillus and three staph.

19 DR. KUEHNERT: I just wanted to follow up
20 on that and see if you had any comment of what the
21 impact of false positives might be on the system
22 because that's a possible downside, although I want

1 to couch that by saying that, again, I think that
2 even finding one gram-negative organism, for a
3 blood center to do that, would prevent a fatality.

4 And having been at CDC and heard about
5 cases over the years, I have heard the same thing
6 as you said, that somebody saying that they have
7 been in blood banking for 20 years and never seen a
8 case, and then they saw a case, and they now
9 understand the importance of the problem.

10 DR. AuBUCHON: Yes. Well, it is important
11 to shut the door before the horse leaves the barn.

12 The point about false positivity is an
13 important one, and that is one of the advantages of
14 the Pall BDS system, that the unit is never opened
15 to the environment. It's never an open system.
16 Sterile connecting devices can be used to obtain
17 the sample and pass the sample into the collection
18 and detection system, and so the rate of false
19 positivity should be quite low or presumably should
20 be zero, if used correctly. I'm not sure how the
21 one false positive occurred in Dr. Rock's study.

22 The other system that is used for

1 culturing that employs a bottle by necessity has an
2 open part of the system, although the sample is
3 taken from the unit using sterile connecting
4 devices and maintains the closed system of the
5 unit. Ultimately, the sample has to be drawn up
6 into a syringe, and then, with a needle, the sample
7 is inserted through a rubber septum into the
8 bottle. There is always the potential for
9 contamination of the culture at that point. The
10 system is not truly giving you a false positive
11 result. The system is contained, but the unit
12 isn't contaminated. The contamination occurred at
13 the time of actually placing the aliquot into the
14 bottle.

15 When we began our culturing in 1999, we
16 intentionally did not use a laminar flow hood, as
17 you saw in the photograph. We do this out on a
18 laboratory bench in the general transfusion service
19 laboratory, with all of the techs in rotation
20 taking a turn.

21 We wanted to determine whether or not
22 culturing was feasible in that kind of environment,

1 sort of a standard transfusion service lab
2 environment, or whether we would get too many false
3 positives. We certainly did have some false
4 positives, initially running at a rate of about 1
5 per 200 units, and now down to about 1 in 1,000
6 units. That comes from some changes in sampling
7 pouches that are available to us, and our techs
8 becoming more familiar with the technique.

9 Dr. Brecher, who adopted a similar
10 technique, but does the manipulations within a
11 laminar flow hood, has a false positive rate that's
12 about half or a third, if I remember, of ours;
13 again, indicating that most of these contaminations
14 are coming at the time that the culture is actually
15 being injected into the bottle.

16 MR. SKINNER: Dr. Sayers had his hand up.

17 DR. SAYERS: Thanks.

18 Jim, what do the Europeans report about
19 their experience reducing the risk of

20 transfusion-transmitted bacterial infection?

21 DR. AuBUCHON: I haven't heard much in the
22 way of hard data before and after comparisons. I

1 don't have that information.

2 MR. SKINNER: Dr. Heaton?

3 DR. HEATON: Jim, there's an economic
4 conundrum here, in that the FDA has asked for an
5 extraordinarily wide-ranging and very expensive
6 trial to justify the creation of an intended use
7 claim for a release test. And one of the ways that
8 one might justify such an enormous expense would be
9 to pursue a 7-day platelet dating extension and
10 indeed pooling.

11 Since you're one of the world's experts on
12 platelet storage, what's your opinion on 7-day
13 platelet dating, the efficacy of platelets at 7
14 days and the efficacy of the BCPC pooling? By
15 virtue of your experience, do you believe those to
16 be effective products and therefore a realistic
17 goal of the development for bacterial screening
18 assay program?

19 DR. AuBUCHON: Our laboratory was involved
20 in the performance of clinical trials for the two
21 companies that I am aware of that have submitted
22 for 7-day dating. We performed these studies under

1 contract to them. In both of those studies,
2 platelets that had been stored for 7 days were
3 compared to platelets that had been stored for 5
4 days. One of those companies used an apheresis
5 collection device. The other company used a whole
6 blood-derived platelet unit.

7 Certainly, there were differences between
8 7-day-old platelets and 5-day-old platelets, both
9 in terms of recovery and survival. The difference
10 was less than 15 percent for a drop in the
11 recovery. This was felt, at that time, to be an
12 acceptable tradeoff and, in fact, at least for the
13 apheresis platelets, the recovery and survival of
14 the 7-day platelets, 2 years ago in our hands, was
15 better than the published radiolabeled recovery and
16 survival from 20 years previous that led the FDA to
17 license 7-day platelets in the early 1980s.

18 Since that time, Dr. Scott Murphy, who I
19 believe is going to be talking tomorrow, has
20 proposed a comparison of stored platelets or
21 treated platelets, any future platelet product
22 submitted to the FDA for licensure not in

1 comparison to what has previously been approved
2 licensed by the Agency, but against an immutable
3 standard--fresh platelets.

4 Scott has appropriately pointed out that
5 if we always compare our next advance to our last
6 approach, we may be on a slippery slope to someday
7 where we are comparing something that is terrible
8 to something that is only slightly worse, and
9 that's not appropriate.

10 Instead, we should use fresh platelets
11 from the same donor, reinfused tautologously, as
12 the benchmark for that donor and then compare the
13 treated or stored or however processed platelets at
14 the end of their storage period to those fresh
15 platelets from that same individual.

16 This appears very scientifically sound.
17 We have been engaged in some studies looking at
18 this approach, which we euphemistically call
19 Murphy's Law, and it appears that at 7 days,
20 compared to fresh platelets, we are still getting
21 excellent survival. Scott suggested that at the
22 end of the storage period, the recovery should be

1 at least two-thirds of fresh platelets. And even
2 at 7 days, the currently available systems can meet
3 that requirement.

4 MR. SKINNER: Colonel Sylvester?

5 COLONEL SYLVESTER: You said that the AABB
6 members had to implement almost a full year before
7 or the CAP implemented a full year before the AABB,
8 and you reported a 97-percent compliance.

9 Do you believe that's because of perhaps
10 your transfusion services are relying on the donor
11 centers to do it or what would be the difference
12 between what you are saying is the standard was
13 accepted and implemented, and everybody's in
14 compliance, and nobody's reported a supply shortage
15 with your member organizations, and yet there's a
16 concern there will be when--the shortage on the
17 AABB's part.

18 DR. AuBUCHON: Well, I am gratified that
19 both those institutions, accredited by the AABB for
20 their blood banking and transfusion service
21 activity, and those accredited by the CAP, have, in
22 the main, taken up this responsibility and run with

1 it and have been successful in implementing one
2 technique or another.

3 The CAP Transfusion Medicine Resource
4 Committee approved this new requirement on the
5 inspection checklist in the fall of 2002. It was
6 published to initially become effective in December
7 of 2002. So that does appear a little bit earlier
8 than the AABB.

9 There is a slight difference in how the
10 requirement is interpreted, and that with the AABB,
11 it is expected that, as of March 1, an institution
12 is performing an appropriate technique, a suitable
13 technique, for detection of bacteria.

14 In the CAP checklist, there are two
15 classifications of requirements called Phase I and
16 Phase II. The Phase II requirements are most
17 stringently enforced, and the laboratory has to do
18 precisely what is in the checklist, essentially no
19 exceptions allowed.

20 The bacterial detection requirement, as
21 all new requirements entered as a Phase I
22 requirement, that allows the laboratory and the

1 inspector a bit more leeway in how the laboratory
2 is assimilating this new requirement. But the fact
3 that 97 percent--over 97 percent--of the
4 laboratories received a passing grade on that
5 question indicates that they were taking steps to
6 implement one or another of detection techniques.
7 I don't have data to say exactly how they were
8 doing that.

9 MR. SKINNER: If I could ask a follow-up
10 question. The AABB standard, then, as I understand
11 it, is mandatory implementation. Yet yours is
12 permissive. Is there a specific reason why the CAP
13 standard is not mandatory? Is it the same reasons
14 that Dr. Beato indicated in her reply or is that
15 you don't see a need to make it mandatory because
16 of the voluntary compliance?

17 DR. AuBUCHON: It is anticipated that the
18 CAP requirement will move from Phase I to Phase II
19 within the next cycle of checklists or in
20 approximately 1 to 2 years. That is the standard
21 approach that the CAP uses, rather than the
22 approach that the AABB uses, which is a more

1 delayed implementation and with a public comment
2 period.

3 There are different approaches to dealing
4 with the same problem, and that is any new

5 requirement will impose changes in procedure,
6 possibly changes in equipment availability,
7 training, validation requirements and a host of
8 other implementation steps that cannot happen
9 overnight.

10 It would be unfair for a laboratory to be
11 inspected a week after the CAP's first publication
12 of this requirement, to expect them to have
13 everything in place. As you saw, it took some AABB
14 institutions a year to get ready.

15 So the two organizations work differently.
16 The end result is the same, and the CAP will be,
17 I'm certain, moving from a Phase I to a Phase II
18 requirement very soon, but it appears that most
19 institutions are already paying attention to it.

20 MR. SKINNER: Dr. Gomperts?

21 DR. GOMPERTS: Jim, just focusing on the
22 failures of the 1-day bacterial screening

1 procedure, those that are identified as bacterial
2 positive at day five, day seven, whatever, would
3 you comment on that.

4 DR. AuBUCHON: I think it is unreasonable
5 to expect culture-based techniques performed
6 relatively early in storage to be 100 percent
7 sensitive. It just won't happen. Not all bacteria
8 are going to multiply quickly enough so that a
9 small sample taken one day into storage will by
10 chance happen to have a bacterium that can grow in
11 the system and then be detected.

12 Working in our favor in that kind of
13 situation is the fact that if the organism is a
14 slow grower, it probably will continue to be a slow
15 grower during storage and is less likely to grow to
16 potentially lethal concentration before the time of
17 transfusion. Checking the unit at the time of
18 issue or at the end of outdate I think is an
19 appropriate way to document the sensitivity. But I
20 am not greatly concerned that a few contaminated
21 units will be missed. It is better than not
22 testing them at all.

1 The question in terms of what that means
2 for extended storage and how we should proceed, I
3 think the appropriate clinical trial endpoint would
4 not be documentation of 100-percent sensitivity,
5 that is, the second or control culture at the end
6 of storage always being negative before the agency
7 would approve extended storage. What I think would
8 be appropriate is to ask the question: If we did
9 no testing, no culturing, what would be the
10 infection rate? And if we did this culture
11 technique and extended the storage, what would be
12 the contamination rate? And if they're the same or
13 the contamination rate is lower with the addition
14 of the culture early in storage, then that is a
15 winner and, in my opinion, should be approved.

16 DR. GOMPERTS: So you are saying that the
17 false negative is associated with the bacterial
18 type and also the actual bacterial load, that there
19 are no other factors that could impact this?

20 DR. AuBUCHON: Well, I am not a microbiologist,
21 but those are the two big ones.

22 MR. SKINNER: Dr. Angelbeck?

1 DR. ANGELBECK: Jim, with your comments on
2 pre-storage pooling of the whole blood-derived
3 platelet, and one of the questions before the
4 committee that Dr. Holmberg pointed out, the
5 availability or shift in the type of platelet
6 available, do you think that that pre-storage
7 pooling is essential with bacterial detection to
8 making the whole blood-derived platelet a continued
9 viable option?

10 DR. AuBUCHON: I do. The workload
11 involved with testing each--with culturing each and
12 every whole blood-derived platelet unit is
13 phenomenal. Some blood centers, as I believe you
14 will hear tomorrow, have successfully done that,
15 but it has been incredibly expensive and an
16 incredible amount of work for them to do that.
17 They should be congratulated for having
18 accomplished it.

19 However, I think that culturing of a pool
20 will give the same answer and is a less expensive
21 approach.

22 MR. SKINNER: Dr. Linden?

1 DR. LINDEN: My question actually relates
2 to the same issue. You mentioned in Europe that
3 they were routinely pooling prior to storage and
4 culturing. Are they using sterile docking systems

5 similar to what or identical to what we have in
6 this country, or do they have, you know, other
7 mechanisms for pooling that are not available in
8 this country?

9 DR. AuBUCHON: I am familiar with the

10 techniques that are being used in the Netherlands
11 and Belgium, in particular, but I think those
12 techniques are fairly standard throughout Western
13 Europe. There is a difference in the type of
14 platelet product. They produce platelets through a

15 different system called buffy coat platelets as
16 opposed to platelet-rich plasma-derived platelets.
17 That really should not have any bearing on the
18 bacterial contamination risk.

19 But once the platelet units are prepared

20 from the whole blood unit or the buffy coat units
21 are prepared from the whole blood unit, the further
22 processing is all handled by a sterile connecting

1 device. There are also instruments available in
2 Europe to do the final centrifugation, pooling, and
3 filtering all in one step. And the culturing
4 is--the culture sample, the culture aliquot is
5 taken from the pool using sterile connecting
6 devices. At that point they enter the small sample
7 pouch with needle and syringe and place it in a
8 culture bottle just the way that we do.

9 MR. SKINNER: We will have a presentation
10 tomorrow on the Dutch experience where we can probe
11 some of the European issues a little bit more.

12 Dr. Penner?

13 DR. PENNER: Jim, we have been experiencing some
14 platelet shortages, temporary, in our
15 region. Is this something that is a little more
16 common or is it just a local phenomenon?

17 DR. AuBUCHON: Well, platelets never seem
18 to be in abundance, even in the best of
19 circumstances. All I can tell you is that in my
20 area of the country, in the Northeast, we have not
21 experienced any shortages of platelets. However,
22 our blood center is only culturing apheresis

1 products. They are not culturing whole
2 blood-derived products. That leaves the individual
3 hospital to fend for itself and either do nothing
4 or to use one of the lesser sensitive techniques.

5 There have been periodic platelet
6 shortages, whole blood-derived platelet shortages,
7 even in my part of the country, even though they
8 are not being cultured. So I don't have data on
9 that, but I think Dr. Sazama will have data
10 tomorrow from the AABB about that.

11 DR. PENNER: Because this has been very
12 uncommon for us in the past, and actually I had
13 never experienced it before until recently. So I
14 am not sure what is creating this situation, and
15 maybe it is local.

16 DR. AuBUCHON: I guess I would ask you,
17 Dr. Penner, are your whole blood-derived platelets
18 being cultured?

19 DR. PENNER: Yes, they are.

20 DR. AuBUCHON: Okay.

21 MR. SKINNER: If I could follow up just on
22 that as well, again, thinking back to the

1 difference between the AABB and the CAP standard,
2 have you seen a serious impact on availability as a
3 result of implementation of the voluntary standard?
4 And is it a concern about availability that is in
5 any way slowing down moving to a mandatory
6 standard, to the Phase II?

7 DR. AuBUCHON: No shortages--no concerns
8 about shortages have been reported to the CAP. So
9 I don't know of any, but you heard Dr. Penner note,
10 you know, some difficulties in his area. I am not
11 aware of any.

12 In terms of why the standard was not
13 initiated as a Phase II, the concern really related
14 more to the ability of institutions to implement
15 the techniques than to any effect the techniques
16 might have on platelet availability. That was not
17 what was truly driving the issue.

18 MR. SKINNER: Other discussion? Dr.
19 Gomperts?

20 DR. GOMPERTS: Jim, there was one
21 particular figure that really hit me, and perhaps I
22 didn't quite understand it. Did you say that

1 approximately half of individuals receiving
2 platelets who have a febrile response with a
3 2-degree or above febrile response are associated
4 with bacterial contamination? That is more or less
5 what I remember, which means this is quite a
6 remarkable statistic. I don't know the incidence
7 of such an occurrence, but I suspect it is not that
8 low frequency, in which case the bacterial testing
9 that Dr. Rock(?) talked about is perhaps missing
10 quite a few of these.

11 DR. AuBUCHON: In the study that I
12 mentioned, 1 percent of transfusion recipients
13 either had a 1-degree rise in temperature plus
14 chills and rigors, or a 2-degree rise in
15 temperature. So that was 1 percent of all the
16 platelet transfusions.

17 After those clinical signs and symptoms
18 were noted, the unit and the patient were both
19 cultured. They documented--I believe I noted ten
20 cases of bacteremia and four cases of sepsis. And
21 if the patient had a 1-degree rise and chills and
22 rigors, the chance that that was truly a

1 contaminated unit that could be documented through
2 that parallel culturing approach, a patient and
3 unit, the chance was 27 percent, and a 2-degree
4 rise was 42 percent. But that's based on a
5 proportion of individuals who met the initial
6 criteria for the culturing study, which was 1
7 percent of all platelet transfusion recipients.

8 DR. GOMPERTS: Okay. Thank you.

9 MR. SKINNER: Other questions? Dr.
10 Sayers?

11 DR. SAYERS: Thanks. This is follow-up to
12 Ed's question. This study, Jim, were those
13 leuko-filtered transfusion products?

14 DR. AuBUCHON: No, I do not believe they
15 were. Not pre-storage filtered, anyway.

16 MR. SKINNER: Dr. Kuehnert?

17 DR. KUEHNERT: I just wondered on that
18 study and also in general, I was wondering what the
19 storage times were in that study concerning those
20 that developed sepsis and bacteremia. And then the
21 more general question was just about the whole
22 issue of day five versus seven storage time. We

1 talk about the experience in the 1980s about how
2 the storage time was extended and then it was
3 realized that there was a problem--quote,
4 problem--and then it was scaled back. But I wasn't
5 ever sure what those data actually were and if
6 there's any data currently existing comparing day
7 five versus seven as far as contamination and
8 whether there's a significant difference. What do
9 we expect? I mean, in looking to do a study, what
10 is the expectation based on the data?

11 DR. AuBUCHON: I don't recall from the
12 paper by Chu, et al., whether or not they reported
13 the storage times of those units. I just don't
14 recall.

15 DR. BRECHER: To put factual information
16 on the table, they did. They averaged 4.5 days.
17 But the age of all of their platelets was also 4.5
18 days, the university system getting all the
19 platelets and transfusing them.

20 DR. AuBUCHON: There certainly was
21 attention focused on the age issue from the study
22 reported by Morrow, et al., from Hopkins in the

1 early 1990s where they noted that most of their
2 platelets were transfused on day two or day three,
3 but most of their reports of fever and sepsis came
4 from platelets that were transfused on day five.

5 It is not unreasonable to think that the
6 longer you store a contaminated unit, the higher
7 the bacterial inoculum will be. Ultimately it will
8 reach a plateau. But for most organisms,
9 particularly the gram negatives and the ones that
10 we are most concerned about, they seem to have very
11 rapid growth curves, and probably reach their
12 maximum within two to three days. So for them, the
13 storage time is less of an issue. Those units are
14 always dangerous.

15 DR. KUEHNERT: That was something I
16 wanted to point out. I think on the earlier
17 discussion there was talk about, well, what would
18 be the impact of then decreasing to day three, and
19 from, you know, data that has been previously
20 published, it has been shown that really, since
21 fatalities are primarily associated with gram
22 negative organisms, they grow so quickly that

1 reducing the storage time would not be an effective
2 measure. And what we are talking about when we are
3 talking about day five to seven are more likely
4 gram-positive organisms, which do cause fatalities,
5 although not in the same proportion as gram
6 negatives.

7 So, again, I was just wondering what the
8 data were as far as the difference between day five
9 and seven, and it doesn't sound like there really
10 is anything out there.

11 MR. SKINNER: I wonder if there are any
12 more questions for Dr. AuBuchon. Yes, Dr.
13 Holmberg?

14 DR. HOLMBERG: Jim, I just have a
15 question. Again, I'm stuck on the CAP Phase I
16 requirement of the 97 percent of the laboratories
17 inspected had implemented the bacterial testing.
18 One of the concerns that the Assistant
19 Secretary for Health has had is the impact this has
20 had on the hospitals, especially in the endpoint
21 testing. And so I have a real hard time
22 understanding that 97 percent, that if that number

1 of 97 percent is accurate, then we don't have a
2 problem at the hospitals.

3 DR. AuBUCHON: The mechanisms that the CAP
4 authorizes in that question for a laboratory to use
5 in order to detect bacteria, or several, it
6 included swirling, which is not accepted by the
7 AABB as a standard technique to be used for routine
8 release of platelets. It's authorized by the AABB
9 in emergency release when you don't have time to do
10 another technique. But it is allowed by the CAP to
11 be used routinely, and that may account for some of
12 the difference.

13 There is still some debate in the blood
14 banking community how sensitive looking for
15 swirling is. For those around the table who are
16 not blood bankers, swirling is sometimes referred
17 to as a shimmering opalescence that one sees by
18 holding the bag up to the light and rocking it back
19 and forth. And if a platelet remains in normal
20 disk form, they will line up one against the other
21 and create a small diffraction grid and create a
22 rainbow. And you get many little rainbows being

1 formed, billions of rainbows being formed in the
2 bag, and it appears to be an opalescent bag.

3 That indicates that the pH is in the
4 acceptable range, above 6.2, and the platelets are
5 happy. If you have a bacterially contaminated
6 unit, the pH usually--not always--will drop and
7 cause the platelets to sphere up into balls, and
8 they can no longer create the diffraction grid.

9 The technique is, in my opinion, and based
10 on our experimentation in our own laboratory, about
11 as sensitive as gram staining. But it is far from
12 perfect. There are others who believe that it is
13 less sensitive than gram staining and, therefore,
14 did not want the AABB to accept it as a routine
15 method.

16 It costs nothing. It can be performed at
17 the end of storage. It takes two seconds. And,
18 frankly, it's fun to do.

19 [Laughter.]

20 DR. AuBUCHON: So this may be the
21 technique that many of those laboratories are
22 using. Clearly, it is not as sensitive as culture.

1 DR. HOLMBERG: Again, I just want to echo
2 some of your comments that you made as far as
3 partnership and working together, and also Dr.
4 Midthun's comments earlier. The whole intent of
5 the letter that was sent to the AABB was also not
6 only to ask about the delay but also to try to get
7 a mechanism so that we could have some roundtable
8 discussion. And the way that we are approaching
9 this is that what we want to do is to have this
10 public forum, which we are having today, and then
11 we will follow up with a roundtable discussion with
12 the parties, all available parties, including all
13 the agencies, Federal Government agencies.

14 DR. AuBUCHON: I am happy to hear that,
15 and I look forward to being able to help any way I
16 can.

17 DR. HOLMBERG: Thank you.

18 MR. SKINNER: Just one last question. On
19 Dr. Holmberg's comment, do you have any specific
20 data that indicates how they're complying with the
21 CAP test and how this 97.4 percent breaks out,
22 which ones they're using, what percentage are using

1 swirling, what percent dipsticks, what percent
2 cultures?

3 DR. AuBUCHON: No, I do not.

4 MR. SKINNER: Okay. Thank you very much.

5 DR. AuBUCHON: Thank you.

6 MR. SKINNER: We are a little bit ahead of
7 schedule. I don't know if the committee wants to
8 have any discussion among itself before we break
9 for lunch. We can certainly do that if there are
10 any comments or questions from the committee in
11 general.

12 [No response.]

13 MR. SKINNER: If not, then we will break
14 for lunch, and the agenda says we are to come back
15 at 2:00, but we are a half-hour early, so let's
16 reconvene at 1:30. Thank you.

17 [Luncheon recess.]

1 suggests that adding a separate bag to a collection
2 set could open up the possibility that you collect
3 your disease testing up front so you don't have to
4 wait until the end of the product collection. And
5 sometimes the venous access is lost at the end of a
6 product collection, and then you have difficulty
7 gaining those disease testing samples. So this
8 way, if you collect them up front, this gets around
9 that problem.

10 The additional benefit of this could be
11 that if there is a contamination at the time of
12 collection of the blood that's going through, if
13 that blood could be diverted away from the product
14 bag, you may have a reduction of bacterial
15 contamination in the final product.

16 Now, there isn't that much data on this
17 that actually proves this concept, but the
18 benefit--but we are understanding of the potential
19 benefits of this idea.

20 So approximately two years ago, FDA
21 presented the concept of the design of a sample
22 diversion pouch at a BPAC meeting, and our design

1 included characteristics such as: it has to be a
2 closed system; the diverted blood is separated from
3 the final blood product by unidirectional flow so
4 it doesn't contaminate the final product; and the
5 volume of diverted blood is sufficient to provide
6 samples for disease testing and potentially reduce
7 bacterial contamination in the transfusion product.

8 Since then, three companies have come
9 forward and applied for NDA supplements for sample
10 diversion pouches, and these are the Baxter
11 Corporation in January 2003; Pall Corporation,
12 December 2002; and Terumo in September 2003.

13 The criteria for approval of this bag has
14 been conformance with the FDA design proposal to
15 BPAC. We did not require any clinical data if the
16 manufacturers didn't claim decrease for bacterial
17 contamination through the use of their product.

18 These products are on the market
19 currently. However, there have been some growing
20 pains with implications of these products, and some
21 of the initial approved designs continue to be
22 improved based on clinical experience.

1 So let me talk about bacterial detection
2 devices that have been cleared by the FDA. There
3 are two devices that have been cleared: the
4 Bact/Alert by BioMerieux, that was cleared in
5 February 2002; and BDS Instrument by Pall
6 Corporation, that was cleared October 2nd. Now,
7 these are specifically cleared for quality control
8 of the platelet collection process.

9 FDA current thinking for clearance of
10 these devices is based on the intended use of the
11 device, and it's the manufacturer that presents us
12 with the intended use of their device. We
13 recognize two intended uses. One is quality
14 control indication, and quality control is the
15 sampling of a small number of collected products to
16 assure that the platelet collection process is in
17 control. And this could be as few as four units
18 per month.

19 Now, the decision here, the decision to
20 transfuse actually does not depend on the results
21 of this quality control testing. So you don't have
22 to wait for the results. This is different from if

1 you want to come in for an indication that is
2 intended for product release. Here you screen all
3 of the product prior to release for transfusion,
4 and your decision to transfuse depends on the
5 results.

6 This slide summarizes some of the points
7 to consider when you're reviewing bacterial
8 detection by automatic culture devices. The
9 contamination at the collection is very low, and
10 there needs to be time to allow bacterial
11 proliferation in the product to reach detectable
12 levels. This usually is 24 to 48 hours.

13 On the other hand, to preserve shelf life
14 of the product, you need to sample the product as
15 soon as possible after collection. However if you
16 sample too early, this can lead to a sampling
17 error. If you take a larger sample of volume, this
18 improves sensitivity of the device, but also
19 depletes the product. So all these things have to
20 be balanced against one another.

21 Now, also, detection in the device
22 requires proliferation of bacteria in the device,

1 and this usually adds another 24 to 48 hours to the
2 time before you can get a result. And, finally,
3 detection is based on metabolically active bacteria
4 in the device. It may not detect dead bacteria or
5 endotoxin that was produced by bacteria that
6 subsequently died.

7 Now, there are some unique characteristics
8 to bacterial growth in transfusion products.
9 There's a wide variety of bacterial species that
10 has been reported, and this includes gram-negative
11 and gram-positive bacteria. As I mentioned
12 already, the initial inoculum, it was very low,
13 probably in the 1 to 5 CFUs per ml or less.
14 However, once bacteria do get into a platelet
15 product, since it's stored at room temperature and
16 has sufficient nutrients to support bacteria, they
17 can quickly amplify and proliferate to tremendous
18 levels, up to a million CFUs per ml. And the rate
19 of this bacterial proliferation in a product is
20 dependent on the bacterial species, the storage
21 temperature of the product, and also donor
22 characteristics, such as antibodies or complement

1 concentrations.

2 Now, FDA current thinking on clearance of
3 bacterial detection devices used for QC of platelet
4 products, this is the criteria that we've applied
5 to approval or clearance of the two devices that
6 are already on the market. We've relied on in
7 vitro testing. This is laboratory testing. In
8 these tests, the devices tested platelet products
9 intentionally contaminated with variable levels of
10 bacteria, which is commonly referred to as a
11 spiking study.

12 This type of testing identifies device
13 sensitivity for a particular bacterial species and
14 also the optimal sampling time and the sample
15 volume that the device works with to increase its
16 sensitivity.

17 Now, devices with low sensitivity need to
18 allow time for bacterial proliferation in the
19 platelet product, and thus sampling is done later
20 in the storage of the product.

21 Now, this is a graphic demonstration of
22 what these type of spiking studies look like. If

1 this denotes the storage of the platelet product at
2 room temperature, you would spike in bacteria.
3 Usually it's 1 to 10 colony-forming units per ml.
4 And then you'd take a sample of the contaminated
5 unit, put it into your device, and read out the
6 device, usually 24 to 48 hours later, and also
7 determine the actual concentration of the bacteria
8 at the time of sampling. It's a relatively
9 straightforward type of an experiment.

10 We did have a lot of discussion early on
11 to decide what was the appropriate list of bacteria
12 that should be tested in these devices, and we
13 finally settled down on a minimal list of bacteria
14 that was actually put together by Dr. Mark Brecher
15 when he was evaluating the BacT/Alert, and he used
16 15 organisms, and his report came out in
17 Transfusion in 2001.

18 Now, other companies can use the same list
19 or a smaller or a longer list of bacteria.

20 However, the final labeling of the clear device
21 will reflect the specific bacteria tested in that
22 device.

1 So that was the criteria for approval of
2 devices for quality control. Now we're going to
3 move into devices approved for release of platelet
4 products for transfusion. The criteria here is

5 more stringent because the device assures that
6 products are not contaminated with greater than a
7 certain level of bacteria, and this is based on
8 labeling of the device which is derived from some
9 of the in vitro studies.

10 For culture-based detection devices, we
11 need to establish the predictive value of an early
12 culture sample. We also need to establish the
13 false negative rate and the false positive rate for
14 the device under actual use conditions.

15 So for these devices, for release of
16 products, we would also request in vitro testing,
17 same as was done for quality control indication.
18 But in addition, we would request a field trial to
19 demonstrate the performance of the devices under

20 actual use conditions. This would involve sampling
21 of transfusion products from routine collections.
22 And particularly for culture-based devices, we want

1 to see a demonstration that culture is also the
2 sample taken early in a storage period are
3 predictive of results of a sample taken at the end
4 of storage or at the time of release of the
5 product.

6 Now, here's a schematic of what this type
7 of study would look like, or at least the concept
8 of a study that we envision, and this was presented
9 at BPAC in December 2002.

10 These would be normal products collected
11 as part of routine operation of a blood center.
12 There would be the initial culture taken early on,
13 probably 24 hours. Then at the time of release of
14 the products or at outdate, a second sample would
15 be taken and also put into the culture so that the
16 results of the initial culture could be confirmed.

17 Now, because bacteria proliferate during
18 this time, the second culture has an easier time to
19 detect the level of bacteria because the levels do
20 get higher and higher. So we consider this as the
21 reference standard.

22 Now, the field trial would have several

1 characteristics. One would be a primary endpoint,
2 and this would be a concordance of the first and
3 second culture with 95 percent confidence. The
4 study would establish the sensitivity, specificity,
5 and also the predictive value of the first culture.

6 This study may require a large study due
7 to a low level of contamination, and some of the
8 estimates we've received would be that 30,000 to
9 50,000 units would have to be screened to determine
10 with sufficient statistical power those criteria
11 that we're looking for. Even though this is such a
12 large hurdle, this approach was supported by the
13 BPAC, Blood Products Advisory Committee.

14 Now, since the cost of the studies is so
15 large and has been the limiting factor in getting
16 these studies off the ground, we have also
17 considered combining these types of trials with the
18 extension of platelet storage. And, therefore,
19 here are a couple of ideas how using bacterial
20 screening can be used to approve future platelet
21 products.

22 As I mentioned already, applying

1 culture-based bacterial detection for a product
2 limits the shelf life by 24 to 48 hours. Now, it
3 would be relatively easy to extend--or it would
4 appear that it would be easy to extend the shelf
5 life of platelets. However, the shelf life was
6 already limited by concerns over bacterial
7 contamination by the 1986 BPAC.

8 Therefore, application of bacterial
9 screening and shelf life extension could be
10 combined in field trials to reduce the cost of the
11 trial and then eventually to combine them in
12 clinical practice.

13 Now, one way of getting at this would be
14 to look at the relative risk of the various
15 products. Currently the risk that we have--and
16 it's not clear exactly what it is, but we'll just
17 call it current risk. And this is what you would
18 get from five-day-old platelets.

19 Now, we know that there is a higher risk
20 to seven-day platelets because of the decision and
21 data that was presented to the 1986 BPAC. So
22 seven-day platelets are at a higher risk. There's

1 also a potential for--that we're also talking about
2 pre-storage pooled platelets.

3 Now, there is a higher bacterial risk, and
4 this was established by a paper by Steve Wagner in
5 1985, and he compared the bacteria growth rates in
6 single units, single random donor units or the
7 pooled units. And since the pooled units has a
8 larger volume, the bacteria can actually
9 proliferate to a higher load and, therefore, be a
10 greater risk to a recipient if they receive that
11 higher load.

12 So based on these two factors, we consider
13 seven-day platelets and pre-storage pooled
14 platelets a higher bacterial risk than the current
15 risk.

16 Now, we're not comfortable with the
17 current risk, and we understand all the concerns
18 that were voiced today, and we agree with them. We
19 think that this risk should be reduced to a lower
20 risk that would be defined by or enabled by
21 application of a bacterial detection method.

22 So once this is in place, this will become

1 the new current risk. And then any platelets, any
2 platelet products that will be approved should have
3 the same relative risk as the new lower risk
4 established by bacterial screening.

5 Let me just summarize here. The bacterial
6 risk of future products should not be greater than
7 the risk of a five-day platelet screen for
8 bacterial contamination with an FDA-approved method
9 or device, and the relative bacterial risk of a
10 novel platelet product should be demonstrated in a
11 field trial.

12 Here is a schematic of what these field
13 trials could look like, and this is just the
14 concept that we present. We are open to
15 suggestions and discussion about the design of the
16 specifics of these trials.

17 This particular trial tries to combine
18 transfusion of the products stored up to day six
19 and day seven. This could be done under IND if
20 these platelets are then screened prior to
21 transfusion with some kind of a point-of-care
22 screening device, such as gram stain. What we

1 suggest that this study should have, it should have
2 a first culture, as done earlier, and then a second
3 culture taken at day six or day seven. And then
4 the results should be compared to see whether the
5 first culture was predictive of those later
6 cultures.

7 Now, we have also been approached with a
8 study that's slightly different from this that
9 utilizes outdated units. And here instead of
10 transfusing the units that go beyond five days,
11 they're allowed to outdate--outdate on the shelf.
12 And then these are tested again to compare against
13 the initial culture or against the day five
14 culture. And the thought is that if you could
15 demonstrate that the risk of day five and day seven
16 is equivalent, that would be sufficient data to
17 approve a device for release of platelets out to
18 seven days.

19 So those types of studies are more suited
20 for single-donor platelets, also referred to as
21 apheresis platelets. We've already talked about
22 whole blood-derived platelets, and these have a

1 slightly different collection scheme. Here you
2 have, for whole blood-derived platelets, the single
3 units that are collected from single donors are
4 combined into a final pooled product. The current
5 standard for this product is that it can be pooled
6 only four hours prior to transfusion, and that's
7 because there's concern about bacterial
8 proliferation in the pool if it's stored beyond
9 four hours.

10 Now, as Dr. AuBuchon mentioned, there's a
11 lot of advantages to pooling up front. You could
12 set it up so there would be only one bacterial
13 detection or one leukoreduction filter. And so
14 economically, those platelets would be better.

15 However, there is that issue about bacterial
16 contamination.

17 So here are a couple ideas about how
18 devices could be tested against pre-storage pooled
19 platelets. In this type of laboratory study or a
20 spiking study, we would have five or six individual
21 units, and we would like to see one of those units
22 contaminated with bacteria. So one of these would

1 be spiked. These would then be combined together
2 into a pool, and as you can see, there's about at
3 least a five-fold dilution effect. And we're
4 concerned about this dilution effect and how it
5 would affect the sensitivity of the devices. So
6 we'd like to see evaluation of the devices under
7 these conditions, even in the laboratory situation.

8 Once this is established, I think the
9 study that would demonstrate the--the field trial
10 that would demonstrate the actual usability of this
11 product under a clinical condition would be very
12 similar to what we proposed for the other apheresis
13 platelets. Again, there's an initial culture, and
14 this is confirmed by a later culture to make sure
15 that there's a predictive value to the first
16 culture.

17 Now, solving of the bacteria problem is
18 only half the story, and that's because when you
19 have novel platelet products, you have to worry
20 about the efficacy of the products as well as their
21 risk of bacterial contamination.

22 So in order for these novel platelet

1 products to be approved, there has to be a
2 demonstration of adequate platelet efficacy after
3 storage. Platelets with extended shelf life or
4 pre-storage pooled platelets need to function as
5 well as the current platelet products when
6 transfused. Storage containers need to be
7 validated for extended storage or for pre-storage
8 pooling.

9 I'm now going to describe to you how we
10 evaluate the efficacy of platelet products that
11 have been stored under novel conditions. This here
12 is what we referred to as our pyramid of concern.
13 You probably can't see that very well down here,
14 but the level of testing is initiated by in vitro
15 testing, such as tests for platelet biochemistry
16 and platelet physiology. We would reserve that for
17 minimal concerns about platelet efficacy.

18 As we move up to more serious concerns, we
19 then get into in vivo studies, which involve
20 radiolabeled platelets. The concept behind this is
21 that a product that has been stored under novel
22 conditions can be radiolabeled and infused into a

1 volunteer, and then you will look at the recovery
2 and survival of those platelets in circulation.
3 And if there is any level of damage, those
4 platelets will most likely be removed faster than a
5 standard platelet. So we do a comparison between
6 control and novel platelet products in terms of how
7 they circulate.

8 If there are significant concerns about
9 platelets and platelet damage, we would move on to
10 hemostasis clinical trials. These are trials that
11 look at the ability of the platelet to prevent or
12 stop bleeding in a thrombocytopenic patient. These
13 are relatively expensive studies, so we reserve
14 those studies only for cases of major concern.

15 This side of the slide, I've listed some
16 of the examples of where different changes would
17 fit in terms of our testing scheme. You'll notice
18 there are two gray zones that the applications
19 could fall into, where they could call into the
20 higher zone or the lower zone.

21 Now, for very low levels of concerns, we
22 can still use in vitro testing. However, as you

1 start to modify current platelet storage or the
2 solutions that are used to collect platelets, we
3 tend to move into radiolabeled studies. And
4 products that fall under that would be five- to
5 seven-day storage container or a new apheresis
6 collection device.

7 Again, if you move up to designing a
8 totally new storage media or storing platelets
9 beyond seven days to 14 days and longer, then you
10 start to wonder whether those platelets can still
11 work. And, again, you might be convinced that they
12 should be tested in a hemostasis type trial.

13 And, finally, if you have significantly
14 modified platelets, like platelet substitutes, or
15 chemically modified platelets, such as
16 pathogen-reduced platelets, those would be used or
17 evaluated in these type of hemostasis trials.

18 So in terms of pre-storage pooling,
19 there's actually a bit of a problem with our
20 current scheme. The current scheme is appropriate
21 for validation of single-donor products because
22 they're autologous, they can be reinfused into the

1 volunteer donors. Ethical issues prevent use of
2 this approach with pooled products in healthy
3 volunteers. Because you have five or six different
4 donors that produced that final pool, there would
5 be an issue of (?) immunization to the healthy
6 volunteer. Therefore, we don't think that this
7 radiolabeled approach can be used in evaluating of
8 pre-storage pooled platelets.

9 To get around this problem, we suggested a
10 new approach back in March 2003, and that was to
11 use transfusion responses in thrombocytopenic
12 patients receiving platelet products as therapy.
13 So these patients are going to be receiving pooled
14 products anyway, and it will be just a matter of
15 designing a study around their therapy.

16 What we proposed was that there could be
17 two arms of the study. One arm would be the
18 four-hour pool, and the other arm would be a pool
19 that was stored--pooled together pre-storage and
20 stored out to five days.

21 The endpoints of the study would be
22 corrected count increments and also the transfusion

1 frequencies between subsequent transfusion.

2 Now the study size will probably be larger
3 than what we're used to with the radiolabeled
4 platelets because the type of patient we're using,
5 they're on chemotherapy and there's a lot of
6 reasons for them to have increased platelet
7 consumptions. So that will have to be figured into
8 the design of the study, but probably would be on
9 the order of about 50 patients per arm.

10 So, to summarize where we stand, I'd just
11 like to point out the gaps in the current
12 regulatory landscape.

13 For bacterial detection devices, so far
14 these are not cleared for release of platelet
15 products for either day five or day seven products.
16 They're also not cleared for testing, and that's
17 either release or QC testing, of pooled whole
18 blood-derived platelets. Also, they're not cleared
19 for platelet released based on point-of-care
20 sampling.

21 Now, I didn't talk about these types of
22 devices, but I think these will probably come in

1 the future.

2 And, finally, the efficacy of these
3 products needs to be evaluated, and storage bags or
4 devices are not cleared for pre-storage pooling of
5 whole blood-derived platelets, either up to day
6 five or day seven.

7 So these are the studies that we would
8 propose that could fill some of these gaps:

9 A field trial of culture-based devices for
10 screening platelets to determine the predictive
11 value of a test, and this would be for day five,
12 day seven, or for a pooled product.

13 We'd like to see in vitro tests for
14 bacterial detection of pooled random donor
15 platelets.

16 We'd like to see in vitro and field trial
17 for point-of-care bacterial detection devices.

18 And, finally, we'd like to see evaluation
19 of platelet efficacy for bags used for pre-storage
20 pooled platelets.

21 Thank you very much.

22 MR. SKINNER: Thank you, Dr. Vostal.

1 Questions? Dr. Linden?

2 DR. LINDEN: On your pyramid of concerns
3 about platelet efficacy, you didn't mention the
4 extension from five days to seven days on there.

5 You only mentioned extension beyond seven days. Is
6 platelet efficacy a concern if one were looking at
7 the five days to seven days?

8 DR. VOSTAL: The concern is still there
9 because even though platelets were transfused out
10 to seven days back in the mid-1980s, the platelet
11 product has changed significantly since then. Now
12 most of the platelets are leukoreduced. Back then,
13 those were whole blood-derived platelets. Now we
14 have apheresis platelets. And, also, the storage
15 bags that are used to store these products have
16 changed significantly since then in terms of gas
17 transport.

18 So we like to see evaluation of the
19 seven-day platelets as well with the current
20 storage conditions, and actually I might have just
21 raced over this, but those would fall under the
22 radiolabeled type studies. We already have

1 approved one bag from Gambro Corporation for
2 storage of apheresis platelets for seven-day
3 platelets.

4 MR. SKINNER: Other questions? Yes?

5 DR. LOPES: Do policies in the United
6 States allow us to use the experience of Europeans,
7 who are apparently doing some of these things
8 already? Do we have to start from scratch with the
9 field studies?

10 DR. VOSTAL: Well, this is a very
11 interesting point because Europe in some ways is
12 ahead of us. The difference is that they collect
13 platelets through a different methodology. They
14 collect buffy coat platelets as opposed to
15 platelet-rich-plasma platelets.

16 We currently feel that there is enough of
17 a difference between the two products that the PRP
18 platelets used in the U.S. would have to be
19 evaluated on their own. Some of the differences
20 are that there is a higher leukocyte collection for
21 the buffy coat platelets. They get to sit with the
22 product longer, with the white cells present, and

1 they may be able to take care of bacteria that are
2 contaminating the unit from the beginning.

3 MR. SKINNER: Dr. Kuehnert?

4 DR. KUEHNERT: Could you go back to--you
5 had a slide on risk that compared five-day screened
6 to five-day not screened compared to seven-day.
7 And I mentioned this before, earlier, about--I
8 mean, I think this is a good way to quantify it,
9 but what I'm struggling with is, again, this higher
10 risk based on a 1986 BPAC decision and what the
11 data actually were. And I think this is an
12 important point rather than just sort of an
13 academic point, because I think if you are trying
14 to compare to say that five days compared with
15 seven days is somehow a quantifiable risk and then
16 you're saying that by screening you reduce that
17 risk to some point, you want to know how much
18 you're reducing by and what's acceptable.

19 So with screening at seven days, where are
20 you between orange and red there? Are you 90
21 percent there or 95 percent there? You really
22 don't know unless you know what the risk is at

1 seven days. So I just wondered if you knew what
2 the data were in 1986, and if not, you know, how
3 you're going to sort of estimate that risk there
4 going in, because it makes a difference as far as
5 the power, you know, I think needed to--the power
6 of the study.

7 DR. VOSTAL: I think that the 1986
8 decision as based on a relatively small amount of
9 data, and there were anecdotal concerns about
10 sepsis due to platelet transfusions.

11 I don't think they had any type of
12 surveillance in place that would tell them that
13 this is the current bacterial risk across the
14 country. I think we just sort of accept that they
15 recognize the risk there and they move the storage
16 back to sort of help alleviate that risk.

17 DR. KUEHNERT: Is there any idea about
18 what that risk is, though, in terms of just
19 relative risk between five and seven days? I mean,
20 is it--you know, is there any expectation sort of
21 going into a study to see, you know, what the
22 effect might be?

1 DR. VOSTAL: I don't know what that risk
2 is. I think, you know, if we talk about doing this
3 kind of study, you know, where you can actually
4 compare the contamination rate at day six and at
5 day seven, it may be able to tell you what risk you
6 are preventing--although, I mean, it's different
7 because these will be platelets that are already
8 screened up front, and the ones that are
9 contaminated and picked up by the device will be
10 eliminated from that study.

11 DR. KUEHNERT: One other point. The other
12 concern I might have--and this gets to be a concern
13 when you get to doing 50,000 units, 100,000 units.
14 You are going to have some rate of false positives
15 at each point, and so there might be a random
16 chance of getting a false positive at one, the
17 first sample and at the second sample, and I
18 wondered how you might sort of deal with that in
19 terms of trying to figure out what the true
20 positive actually is.

21 DR. VOSTAL: I think that's a good point.
22 You'd probably have to have a large enough study so

1 you'll pick up, you know, a significant number of
2 true positives that would override your false
3 negative or false positives.

4 MR. SKINNER: Dr. Sayers?

5 DR. SAYERS: Yes, can we make any
6 predictions about the infectivity of products that
7 do not have many bacteria in them, are falsely
8 negative by culture on day one, but subsequently
9 positive by culture say on day four?

10 DR. VOSTAL: The question was
11 whether--what was the bacterial risk to those or
12 what was the rate?

13 DR. SAYERS: I'm just wondering if we can
14 make any predictions about those products. They're
15 falsely negative, and you're ascribing positivity
16 to them because they do subsequently become
17 positive by culture. And presumably they're not
18 positive by culture early on because there are few
19 contaminating bacteria there.

20 So do we have any predictions we can make
21 about how infective they are, you know, whether
22 they would be positive in the biological test,

1 which would be transfusion transmission of bacteria
2 in a patient?

3 DR. VOSTAL: Right, I think it all depends
4 on the bacterial load that you end up transfusing.

5 If it's a relatively low bacterial load, like if
6 it's 100 CFUs per ml, you know, chances are it
7 would not cause significant mortality/morbidity.
8 But if you would miss a culture up front and those
9 bacteria grow up to a million or greater per ml,
10 you know, I think the chances of having a
11 significant effect are good.

12 MR. SKINNER: Colonel Sylvester?

13 COLONEL SYLVESTER: Yes, two things.
14 After the 1986 rollback of the date from seven

15 years to five years, did FDA see a reduction in the
16 number of bacteria-contaminated reports?

17 DR. VOSTAL: I'm not aware of any data
18 like that.

19 COLONEL SYLVESTER: And then the other one
20 was: Based on what you're showing, the only way
21 this is going to happen, extension from five to
22 seven days would be if they do the 30,000 to 50,000

1 study to get the device approved, correct?

2 DR. VOSTAL: Yes.

3 COLONEL SYLVESTER: So that study would
4 have to be done first before any of the other

5 studies would meet the FDA's standards because it
6 has to be with a cleared device.

7 DR. VOSTAL: So the question is can you do
8 the efficacy study first and then the bacterial
9 detection later, or--

10 COLONEL SYLVESTER: Right. Well, the way
11 I read it is that the studies for extending from
12 five to seven days, they have to be done with a
13 cleared device.

14 DR. VOSTAL: Yes, bacterial.

15 COLONEL SYLVESTER: The only way we're
16 going to get a cleared device is if they do the
17 study with the 50,000 sample, correct?

18 DR. VOSTAL: That's correct. I mean, the
19 device we're talking about is the bacterial

20 detection device, yes.

21 MR. SKINNER: Dr. Heaton?

22 DR. HEATON: A couple of points.

1 First, I was present at the 1986 BPAC
2 discussion, and you made the statement that
3 platelet storage has changed a lot since then. The
4 reality is that in 1986 the commonly used random
5 donor platelet containers was the Baxter PL732
6 container and then the Cutter (?) CLX, now the (?)
7 CLX. So the random donor platelet containers have
8 changed very, very little between now and then.
9 It's true apheresis containers have changed a lot,
10 but random donor platelet containers didn't.

11 Secondly, as I remember, the bulk of the
12 platelet-contaminated events reported, nearly all
13 were transfused at about four or five days. And if
14 I remember correctly, there were almost none that
15 were transfused at seven days. And the BPAC was
16 concerned at the theoretical probability that there
17 would be an extrapolated growth between five days
18 and seven days. So to answer Matthew's question,
19 there was remarkably little evidence about the
20 incremental risk that you've got between five days
21 and seven days.

22 The question I have for you, though, is a

1 little different, and that is that the standard
2 that you've identified, the 95-percent concordance
3 between day one and the second culture and the
4 50,000-unit trial in an operating environment and
5 doing double cultures on day five and day seven, if
6 you extrapolate the number of platelets that
7 outdate in the entire U.S., this would take a
8 manufacturer about a year to do this trial and it
9 would be a multi-million-dollar trial.

10 My question to you is: Has any
11 manufacturer stepped up to the FDA to suggest that
12 they would care to fund such a trial?

13 DR. VOSTAL: Unfortunately, the
14 manufacturers have not stepped forward and
15 evaluated their devices for--

16 DR. HEATON: Are you surprised?

17 DR. VOSTAL: Well, I think actually under
18 the current conditions, since the first culture is
19 already being done on a routine basis, collecting
20 the second culture should not add that much cost to
21 this type of a study.

22 DR. HEATON: Yes, but you've got to have

1 that number of outdated platelets and presumably
2 you couldn't use the platelet product in the
3 interim. So you're actually diverting platelet
4 production for the purpose of the trial as well as
5 incurring at least two additional culture expenses,
6 in the case of a Chiron assay and nucleic acid
7 test.

8 DR. VOSTAL: True, but we've also
9 suggested that you could do it in a way that you
10 could actually transfuse those products if you did
11 it under an IND type study.

12 MR. SKINNER: I think perhaps Roger Dodd
13 could lend some additional information on the
14 question.

15 MR. DODD: Thank you. I appreciate the
16 opportunity, although I'll mention it tomorrow.
17 Roger Dodd, Red Cross.

18 We've taken a fairly close look at both
19 the logistics and costs of developing and
20 performing such a study, and at today's rate of
21 outdated, it will take at least two years
22 nationwide to accumulate the outdated. And,

1 conservatively, it's going to cost about \$5
2 million. And we feel that the difficulties of
3 doing this under an IND are truly significant, both
4 in terms of issues of consenting patients and
5 charging hospitals to get older products. We don't
6 think that's going to be too popular.

7 So I think that this is a real difficulty,
8 and it may be easier for us to learn to live with
9 what we've got now if we can't get the support of
10 the agency to move ahead if we can only do it by
11 logistically infeasible and unaffordable studies.

12 DR. VOSTAL: Thank you.

13 MR. SKINNER: Other committee questions?

14 DR. PENNER: Just a question on efficacy.

15 How do you determine efficacy?

16 DR. VOSTAL: Well, efficacy, currently the
17 way we look at efficacy, especially in radiolabeled
18 studies, is we look at what the current standard
19 is, which would be licensed platelets, and we try
20 to make sure that the novel platelet doesn't differ
21 from that current standard by more than 10, 20
22 percent.

1 Now, this approach gets you into trouble
2 because subsequent comparisons, you always slide
3 down the slippery slope, what Jim AuBuchon and
4 Scott Murphy are talking about.

5 We are moving towards the new approach
6 that Scott Murphy proposed, and that sets the fresh
7 platelets as the standard. And then we will
8 compare subsequent products to that uniform
9 standard.

10 DR. PENNER: So you're just really
11 measuring circulation time or at least how long
12 these things stay in circulation, not hemostasis,
13 you don't know whether they're functioning.

14 DR. VOSTAL: Well, let me get back to
15 this. See, right here is actually what we do in
16 terms of evaluating efficacy. We start off down at
17 the bottom of this pyramid where we have minimal
18 concerns with in vitro studies. As our concerns
19 increase, we go to in vivo studies using
20 radiolabeled platelets. If we have significant
21 concerns about damage to the platelets, we move on
22 to hemostasis type clinical trials, and this was

1 the case with pathogen-reduced platelets.

2 DR. PENNER: So there would be some
3 hemostasis trials as well as just the
4 NDM(?) -labeled platelet circulation?

5 DR. VOSTAL: It depends on how--if we felt
6 that there was significant damage to the platelet
7 caused by storage. For example, there are these
8 gray zones that fall into these two areas. Say you
9 have platelets that you want to store them out to
10 14 days or 21 days, you know, they may be able to
11 circulate but there's no way of knowing whether
12 they can still participate in hemostasis. I think
13 at that point they would probably go into the
14 hemostasis study category.

15 DR. PENNER: And that would be much more
16 complex, obviously, because you're trying to see
17 whether patients stop bleeding after you give the
18 agents.

19 DR. VOSTAL: That's correct.

20 DR. PENNER: Okay.

21 MR. SKINNER: Colonel Sylvester?

22 COLONEL SYLVESTER: Could you go to the

1 hemostasis clinical trials in lieu of the
2 radiolabeled trials? Like with the work we're
3 doing with frozen platelets, we don't necessarily
4 get a platelet increment, but we can stop bleeding.

5 So if the intent is to stop bleeding, would we be
6 prevented from getting to that point because they
7 don't stay in circulation?

8 DR. VOSTAL: Right. Those platelets are
9 almost a different type of a product from a normal
10 platelet, so they may have to be considered under a
11 separate category.

12 Most likely radiolabeling, they wouldn't
13 stand up to the radiolabeled criteria, so they
14 would have to be evaluated by a hemostasis type
15 study.

16 MR. SKINNER: Dr. Holmberg?

17 DR. HOLMBERG: As far as the field trial
18 for the pre-storage pooled platelets, would you
19 consider that under an IND?

20 DR. VOSTAL: Yes, certainly.

21 DR. HOLMBERG: Okay. And do we have any
22 evidence--I mean, was that the comment that the

1 BPAC in 1986 just extrapolated the data? Or was
2 there a reduction once the dates were rolled back
3 from seven to five?

4 DR. HEATON: I believe that there was
5 perceived to be a reduction, but the bulk of the
6 cases that led to the concern were, in fact,
7 transfused between four and five days. There were
8 a few at day seven.

9 MR. SKINNER: I believe Steve Wagner in
10 the audience wanted to comment.

11 MR. WAGNER: Thank you. Steve Wagner,
12 American Red Cross.

13 We've done some initial planning for such
14 a study and included some statistical analysis of
15 sample size. And if you adhere to a 95-percent
16 confidence and you also believe that you want to do
17 the study with a power of 80 percent, you'll have
18 to look at over a million samples. Thirty or fifty
19 thousand platelets will hardly give you any power
20 for the study whatsoever.

21 MS. TOURAULT: If I could make a brief
22 comment, I worked for the FDA--my name is Mary

1 Ann--

2 MR. SKINNER: If you could just identify--

3 MS. TOURAULT: I'm sorry?

4 MR. SKINNER: Just identify yourself for

5 the record.

6 MS. TOURAULT: Mary Ann Tourault. I
7 worked for the FDA at the time and collected the
8 fatality reports when the rollback for the days was
9 done.

10 If my memory serves me correctly, at that
11 point in time the number of fatality reports coming
12 into the agency were about 30 to 50 per year, and
13 of those, there were usually six to seven due to
14 bacterial contamination. The increase that caused
15 the reduction I think was only three cases, if my
16 memory serves me correctly.

17 MR. SKINNER: I think at this point, then,
18 thank you very much, Jaro, for your presentation,
19 and we will move on to hear from the two companies
20 that have approved devices for bacterial detection,
21 BioMerieux and Pall, and we'll first hear from Mr.
22 A.C. Marchionne with BioMerieux.

1 MR. MARCHIONNE: Good afternoon. My name
2 is A.C. Marchionne, and I would like to first begin
3 by saying thank you to the committee for allowing
4 me to present today. I must admit I am a paid
5 employee of BioMerieux.

6 It is estimated that one to 1,000 to one
7 to 2,000 platelets are contaminated annually with a
8 fairly high frequency of occurrence, indicated
9 here. What that means is of 4 million platelet
10 bags transfused, about 2,000 to 4,000 are
11 bacterially contaminated with about 200 to 1,600
12 cases resulting in clinical sepsis as well as 40 to
13 533 deaths potentially resulting.

14 We have designed a system called the
15 BacT/Alert 3D. It consists of a two-bottle reagent
16 system. The first bottle here is our BPA, blood
17 products aerobic. The second bottle is called BPN,
18 blood products anaerobic.

19 The automated portion of the
20 instrumentation is a four-drawer incubator with a
21 bar code scanner here and a touch screen here for
22 loading bottles. Bottles are read continuously in

1 the drawers every ten minutes through the platelet
2 shelf life.

3 If we take a closer look at the
4 technology, this is the bottom of the bottles, and
5 there is a sensor in there that will detect CO

2

6 production as a byproduct of a substrate. So as
7 microorganisms grow and metabolize their
8 substrates, CO₂ is produced and it is detected in a
9 sensor at the bottom of the bottle, and the sensor
10 will change from a greenish-gray to a yellow.

11 The system operates by measuring three
12 different algorithms. The first one is sustained
13 acceleration of bacterial growth. The second one
14 is the rate of acceleration. And the third one is
15 the initial threshold.

16 Along the left side here, we have images
17 of the bottom of the sensor changing from gray to
18 yellow in the course of five to seven days.

19 Within each cell that the bottle is placed
20 into, there is a sensor at the bottom. There is an
21 LED that's being shined off the base of the sensor
22 and a photo diode that is measuring reflectance

1 units.

2 How do you get the sample into the bottle?

3 We have worked with numerous companies--Gambro,
4 ITL, and Charter--to develop sample devices that
5 have been designed to reduce contamination. The
6 bag on the left here is a Gambro bag, and the two
7 devices here are from Charter Medical, and they do
8 have an adapter on the end to cover the bottle as
9 you inoculate the bottles. ITL is another company

10 that manufactures the sampling device, and,
11 unfortunately, I do not have a picture of that
12 device to show you.

13 In this picture, we have a sample being
14 collected from a platelet sampling bag via syringe
15 that will be inoculated into two bottles in a hood,
16 and then this is a side view of the drawer. A
17 technician is loading a bottle into the drawer.
18 There are 60 slots inside the drawer, each of which
19 has a photo diode and LED. So each test is being
20 conducted within each bottle in each cell.

21 These bottles have been shown to detect
22 most organisms between nine and 36 hours here. And

1 the organisms listed below are organisms that have
2 been known to contaminate platelets.

3 Bring your attention to strep viridans
4 here. In this particular instance, the anaerobic
5 bottle is detecting the organisms much quicker than
6 the aerobic bottle.

7 Studies have been conducted in the
8 U.S.--these are three papers from Dr. Brecher, who
9 has done extensive studies on the BacT/Alert, and
10 in the U.S. there are existing papers out there,
11 but also internationally there are scientists who
12 have studied the BacT/Alert. And the BacT/Alert
13 has been in use as early as 1992, I believe, for
14 platelet testing overseas.

15 Our clearance is currently for the
16 two-bottle reagent system. The first bottle is the
17 BacT/Alert BPA, and it is specifically used with
18 the BacT/Alert microbial detection system for
19 quality control testing of leukocyte-reduced
20 apheresis platelet units and, as of March, single
21 units of whole blood platelet concentrates. And
22 the BPA culture bottles are used to detect aerobic

1 bacteria.

2 Again, the same applies to the BPN culture
3 bottles. They are, again, used for quality control
4 testing of leukocyte-reduced apheresis platelet
5 units as well as single units of whole
6 blood-derived platelet concentrates. And the BPN
7 culture bottles are used for anaerobic bacteria and
8 facultative anaerobes.

9 If we take a look at worldwide placements,
10 as BioMerieux industry, we have approximately 421
11 systems installed worldwide, 386 of which are
12 placed within blood banks. The others are placed
13 within food companies for detection of bacteria in
14 food products as well as in the pharmaceutical
15 industry.

16 Outside of BioMerieux industry, we have
17 our corporate headquarters in Durham, North
18 Carolina, which handles the blood culture end of
19 our business, and we have thousands of units
20 placed. And what I mean by unit is a 240
21 equivalent or an incubator that holds 240 bottles.
22 And there are approximately 6,000 240 equivalents

1 placed worldwide.

2 Dr. Brecher and Steve Rothenberg have
3 found in practice that the true contamination rates
4 have been three out of 2,397 and four out of 2,397
5 for aerobic and anaerobic bacteria, respectively.
6 And all of these organisms were detected early on,
7 which means that a late culture--no positives were
8 detected with the late culture alone.

9 With respect to anaerobic organisms, we
10 feel that these will be increasingly important with
11 respect to potential platelet shelf life extension
12 to seven days. And certainly while the verdict is
13 still out on anaerobes like propioni and kerini(?)
14 bacterium, there is at least one documented
15 fatality from Clostridium perfringens.

16 We also have a product in development
17 right now that I thought I would tell you about.
18 It is called BacT/Notify, and it is a real-time
19 notification system for transfusion centers. The
20 BacT/Alert will transmit results to the Internet,
21 and on the transfusion side, transfusion services
22 and centers can scan a bar code and get a real-time

1 result through the Internet. We believe that that
2 is going to help make the platelets even safer.

3 A positive test result would look
4 something like this. It's customizable and it
5 would have the product number or any other data
6 that you would determine important on that page.

7 Does anyone have any questions?

8 MR. SKINNER: Dr. Linden?

9 DR. LINDEN: Could you tell us a little
10 bit more scientifically about your system and how
11 it works? You mentioned detecting bacterial
12 acceleration, but I believe you're not really
13 detecting the bacteria directly. Can you speak to
14 us more scientifically?

15 MR. MARCHIONNE: Sure I can. There is
16 media inside of these bottles that promote the
17 growth and sustain the growth of bacteria if
18 they're present and inoculated. And those
19 organisms will metabolize substrates that will

20 result in CO

2 production that the sensor

in the

21 bottom of that bottle will detect and begin to
22 change in color.

1 DR. LINDEN: Okay. What substrates? I
2 mean, can you give us a little bit more detail.
3 Your talk was very generic.

4 MR. MARCHIONNE: Are you talking
5 specifically about the contents of the reagents?

6 DR. LINDEN: Well, whatever additional
7 detail you can give us without being overly
8 proprietary.

9 MR. MARCHIONNE: I can tell you about some
10 of the contents of the reagents, if that would
11 satisfy.

12 For example, in the product insert, in the
13 aerobic culture bottles, the media formulation
14 consists of pancreatic digest of casein, papayic
15 (?) digest of soybean meal, sodium polyanethol
16 sulfonate, pyridoxine and other complex amino
17 acids.

18 MR. SKINNER: Dr. Lopes?

19 DR. LOPES: Can you tell us something
20 about the cost per unit of the processed product,
21 tested product?

22 MR. MARCHIONNE: Currently, the average

1 sales price of the reagents is \$3.50 per bottle,
2 and the system is being sold--and when I say
3 "system," I mean an incubator with a control
4 module. That is being sold for approximately
5 \$60,000 to \$62,000.

6 DR. KUEHNERT: I had two questions. One,
7 you presented some information at the end about
8 this Bact/Notify, which looked interesting as far
9 as electronic notification. But I just wanted to
10 clarify. What this system does, it tells you you
11 have a positive result. It doesn't tell you what
12 the identity of the bacteria is. Is that right?

13 MR. MARCHIONNE: That is correct, and it
14 doesn't tell you if it is perhaps a false positive
15 or a true positive at that point.

16 DR. KUEHNERT: So the system gets to the
17 point of saying you have a positive as indicated by
18 the system as a yellow light, but it's up to the
19 blood center or whoever is operating the system to
20 speciate the organism--or see if an organism is
21 present and then to speciate the organism, provide
22 antimicrobial susceptibilities, things that would

1 be clinically important. Is that right?

2 MR. MARCHIONNE: That is correct.

3 DR. KUEHNERT: The second question I had
4 was about false positives. Do you have a sense of,
5 on average, what the false positive rate is and
6 what the effect is of doing the sampling under a
7 laminar flow hood? Because I saw that in there and
8 I wondered what the difference is, and this sort of
9 has relevance, again, to trying to do some sort of
10 evaluation study because if the false positive rate
11 is high enough, it would overwhelm any true
12 positive that you'd see at day five or day seven.
13 So I just wondered if you had a sense of the
14 numbers.

15 MR. MARCHIONNE: the false positive rate
16 that we've experienced in-house--and I can speak to
17 that, and I think we have some customers here today
18 that will speak on their experiences. But we have
19 seen less than 1 percent contamination rates as
20 false positives. And in terms of the hood, sterile
21 hood, I believe that the sterile hood does indeed
22 help lower that, as well as the sampling devices.

1 But what we've seen in terms of training facilities
2 is that we have seen that there is a learning curve
3 in terms of false positives and initially getting
4 started with the system. And those that have a
5 hood have seen a lower amount of false positives.

6 DR. KUEHNERT: I hope the subsequent
7 presenters will give more precise numbers, because
8 one of out 100 would be very concerning. So we'll
9 look forward to that.

10 MR. SKINNER: Dr. Holmberg?

11 DR. HOLMBERG: Your system was approved
12 for the two vials, the anaerobic and aerobic?

13 MR. MARCHIONNE: Yes.

14 DR. HOLMBERG: So every facility that has
15 implemented this are using both the aerobic and the
16 anaerobic?

17 MR. MARCHIONNE: Unfortunately not. I am
18 seeing approximately 14 percent of our customers
19 using the two-bottle system.

20 DR. HOLMBERG: So you're telling me that
21 86 percent of your customers are using aerobic or--

22 MR. MARCHIONNE: They have chosen to

1 aerobic, and the product insert, just to clarify,
2 makes a strong recommendation to use two bottles
3 for optimal detection. But it has been interpreted
4 as not being a requirement.

5 DR. KUEHNERT: Just a point of
6 clarification on Dr. Holmberg's question. Is that
7 two aerobic bottles, or is that--they're using two
8 aerobic bottles or one aerobic bottle?

9 MR. MARCHIONNE: Good question. They're
10 using one aerobic bottle, for the most part. I
11 don't know of anyone using two aerobic bottles.

12 DR. HOLMBERG: But the package insert
13 strongly recommends one aerobic and one anaerobic?

14 MR. MARCHIONNE: Yes.

15 DR. HOLMBERG: And that's what it was
16 cleared with?

17 MR. MARCHIONNE: Yes.

18 MR. SKINNER: Have there been any in-house
19 studies with pooled platelets with your product?

20 MR. MARCHIONNE: I have not been involved
21 with those studies, but there have been studies
22 with pooled products, definitely.

1 MR. SKINNER: I believe Dr. Brecher might
2 be able to comment on those studies.

3 DR. BRECHER: It's a factual answer here.
4 We have looked at nine bacteria in my lab in a
5 pooled platelet matrix, pools of six platelets.
6 Eight of the bacteria species were inoculated at
7 five CFUs or less into the pool, and the pickup
8 time was on the order of 10 to 12 hours.

9 Interestingly, that set of experiments,
10 like the previous set of experiments, showed that a
11 lot of the organisms were picked up earlier with
12 the anaerobic bottle than the aerobic bottle, even
13 though we think of these organisms as being
14 aerobic. Usually it's been an hour difference, but
15 with strep viridans, you know, we're talking about
16 12, 13 hours faster.

17 Now, we had some informal discussions with
18 the FDA as to the design of that experiment, and
19 the question revolved around what were we looking
20 for. Could we detect low levels of bacteria in a
21 pooled platelet matrix. If that was the main
22 question, then we thought we would go ahead and

1 just pool the platelets and then put in the
2 bacteria. And they had agreed to that informally.
3 But as Jaro outlined today, now they say, no, we
4 want to see bacteria in just one bag and then pool
5 them. So all these experiments will have to be
6 redone.

7 MR. SKINNER: Dr. Holmberg?

8 DR. HOLMBERG: Do you also strongly
9 advocate that the laboratory identify the organism?

10 MR. MARCHIONNE: Yes, we do. Upon the
11 alert from the system of a positive, we recommend
12 sub-culturing that bottle as well as gram staining.

13 MR. SKINNER: I don't see additional
14 questions, so at this point we will move on to the
15 presentation from Pall.

16 MR. MARCHIONNE: Thank you.

17 MR. SKINNER: Thank you.

18 Dr. Jerry Ortolano? I got that one right.
19 I apologize for mispronouncing the others.

20 DR. ORTOLANO: I want to thank the
21 committee for the opportunity to present this
22 information, and basically we're here to discuss

1 current issues of bacterial detection, well
2 recognized as the number one threat of infectious
3 complications associated with the transfusion of
4 platelets.

5 I will touch upon four areas. First, I
6 have been asked to really address potential
7 availability problems with implementation of CAP
8 and AABB, and I'll discuss what I know about
9 bacterial detection and how it might impinge upon
10 this question; practical issues of bacterial
11 detection, the pool and store as a solution to the
12 problem; and, finally, I'll give you some data
13 concerning the performance of the eBDS, which is
14 the Enhanced Bacterial Detection System.

15 First, with respect to the unintended
16 consequences of bacterial detection, as you know
17 and as this committee is addressing, with the
18 market moving towards implementing bacterial
19 detection and in many cases using it as a release
20 criteria, this was not the intention of the FDA.
21 They approved the product for QC and not release
22 criteria.

1 But there are fallouts with this. Given
2 the fact that the market wants to do this, the
3 concerns that were addressed as a consequence were:
4 Would this divert the availability of platelets?

5 Basically would people prefer to use apheresis
6 platelets as opposed to random donor because of the
7 cost-related issues. If they're six-unit pools and
8 you have to do six unit bacterial detections,
9 that's six times the cost. So it is a legitimate
10 concern.

11 Hospitals may implement alternative
12 methods of bacterial detection, and as we know,
13 we've heard discussed here today that some are
14 indeed using dipsticks, some are using pH meters,
15 some are using glucose analyzers. So those will
16 have to be considered as well.

17 The implication however is that there is
18 some kind of a tradeoff between safety and
19 availability, and we submit that pool and store
20 makes all that go away.

21 With respect to the two products,
22 apheresis deriving from a single donor, we have

1 good experience about what the cost is, what the
2 logistics are to use this product, and its safety
3 and availability. I'll also submit that whole
4 blood-derived pool and story, there's a body of
5 experience from Europe that we can latch on to
6 which would suggest that they're comparable,
7 comparable in cost and maybe even less costly,
8 comparable with respect to logistics and safety and
9 availability. This is something we have yet to
10 prove to the FDA, of course.

11 Pool and story, the current status for our
12 company is that we are discussing with the FDA--and
13 we'll met again with them on April 15th to discuss
14 our data. We have 24-hour corrected count
15 increment data which shows very strongly that we
16 can pool and store for five and seven days and get
17 good data at 24 hours.

18 The literature is replete with comparisons
19 of one and 24 hours with respect to corrected count
20 increment and basically show that 24 hour CCI is
21 pretty predictive of what you see at one hour. So
22 since we limited our data collection to 24 hours,

1 we'll offer that and hope that they would accept
2 it.

3 The pool and store systems have been in
4 routine use in Europe, as I mentioned, for years.

5 This is what a pool and store system would look
6 like. There are two separate configurations.
7 System one is for a leukoreduced random donor
8 platelet concentrate. You see the legs on the
9 left? You can actually sterile connect six units
10 onto that system, and you could sequentially
11 express them into the bag. These are already
12 leukoreduced. All you need is a sample pouch to
13 collect the sample for bacteria detection, and
14 that's what you see hanging off.

15 With respect to system two for
16 non-leukoreduced random donor platelet concentrate,
17 you have, again, the six legs so that you could
18 express up to six units into a bag. You would then
19 pass that pooled material through a leukoreducing
20 filter and then onwards into the bag. And then
21 from that you can actually take a sample for
22 bacterial detection.

1 You'll notice that there's a larger bag
2 off in a T configuration, and that's basically to
3 eliminate all of the air that would accrue as a
4 result of processing six individual units. You
5 always get a lot of air in the bag. You need to
6 express that air out, and that's what that bag is
7 for.

8 The studies completed to date include in
9 vitro data for both five and seven days; in vivo
10 data for five-day studies that have been performed
11 for both systems. There is no effect of
12 pre-storage pooling on lymphocyte activity, plasma
13 activation complement, coagulation factors, et
14 cetera, during storage. And we have some
15 satisfactory in vivo data for five days and in
16 vitro for five and seven days on storage quality.

17 I'll now kind of summarize the reflection
18 of the problem. This is a recent paper published
19 by Brecher and Hay, and basically it shows the
20 results of the hemovigilance activity, the BaCon
21 study, the SHOT study, and the French study.

22 I just want to point out that the six

1 units associated with fatalities have been further
2 analyzed from the BaCon study. And what they show
3 basically is that the platelet product only has to
4 be somewhere between two and four days old to
5 confer serious--to confer mortality.

6 If we look at the practical issues
7 concerning platelet transfusions, we'll use
8 Brecher's data at the University of North Carolina
9 where we can see that the vast majority of blood
10 products transfused for platelets are three and
11 five days old. If we look at M.D. Anderson's
12 published experience, which is a little bit
13 different from what we hear, the vast majority is
14 three days old. I actually hear it is now closer
15 to two days old. So two to three to five, in that
16 area, you're going to see platelets transfused.

17 What do we know about observation of
18 bacterial growth in platelet concentrate? This
19 derives from early literature. Basically it's
20 generally agreed from that literature that the
21 bio-burden is usually low. Rarely is it greater
22 than 10 CFU per ml. So that's a target that we

1 could start thinking about.

2 Concentrations less than 5 CFU per ml are
3 often complicated by inconsistent growth. This is
4 the so-called auto-sterilization effect, and that
5 has implications for spiking studies. If you go
6 much below 5 CFU per ml, you're going to have to
7 spike a lot of units of blood because they will not
8 often grow.

9 If we look at sampling error, which has
10 been addressed here, this little cartoon kind of
11 demonstrates the point at its extreme. If we had
12 one CFU in an entire bag of apheresis product, 300
13 mls, and we took out a 2-ml sample, the probability
14 of capturing that one bacterium is 2 in 300, or 0.7
15 percent.

16 Even if we were to capture that, if the
17 bug is captured, it leaves the platelet bag
18 sterile, and the result would be a false positive.
19 Again, that is extreme. If the bug is not
20 captured, then the result is really a false
21 negative. So this kind of represents the absolute
22 extreme, what could happen.

1 As a result, we have to allow organisms
2 sufficient time to grow to levels to avoid the
3 sampling error. Now exactly how much time you have
4 to allow is not really known. It's suspected that

5 you'll start to get growth within 24 hours up to a
6 significant level, and we'll actually see what the
7 results of spiking studies are. But, again,
8 looking at this in the clinical situation is a
9 little bit different.

10 This is applicable to both single-donor
11 platelets and random donors alike, and it's hard to
12 imagine its avoidance, regardless of the method of
13 detection. So whatever technique you choose,
14 you're going to have to allow a certain amount of

15 growth to be able to capture that in your sample.

16 This is data from Brecher and coworkers
17 which basically show the results of spiking
18 studies. These are six bacterium. On the Y axis,
19 you see the log CFU per ml, and on the X axis is

20 your days in storage, PCs at room temperature. And
21 for many organisms, the initial bio burden doesn't
22 make much difference. The growth is pretty

1 measuring it in the platelet concentrate itself,
2 which is stored at room temperature.

3 This is the Pall enhanced Bacterial
4 Detection System, and I'll just briefly describe
5 for you what the differences are between the old
6 and the new. And many of you may not know what the
7 old one was so I'll try to be a bit descriptive
8 about it.

9 It is really comprised of two components:

10 a sampling set that's disposable, and a bunch of
11 equipment. That equipment includes an oxygen
12 analyzer, a bar code reader to enter data, an
13 incubator with an agitator. The agitator is
14 actually an enhancement. We used to incubate these
15 statically, and we found that results are better
16 with agitation, and you'll see why in a minute.

17 Then there is a computer program that's
18 represented by the monitor there which basically
19 allows you to harvest or capture the data.

20 The idea behind this, the technical idea,
21 is that we will express, after a suitable period of
22 incubation at room temperature, a 2-ml to 3-ml

1 sample into a disposable--a sample pouch. In that
2 pouch, we incubate the platelet concentrate with
3 sodium polyanethol sulfonate, which is known to be
4 an inhibitor of complement. It also inhibits
5 lysozymes and some lipoproteins, which are normally
6 anti-bacterial in nature. So that will foster--you
7 inhibit the inhibitors. You'll foster the growth
8 of the bacteria. We also add triptocase(?) soy
9 broth as a nutrient enrichment. And we agitate the
10 sample and incubate at 35 degrees centigrade.

11 Now, the bacteria will consume oxygen in
12 this setting, and the oxygen will become depleted
13 in the plasma. We then shake the sample so that we
14 can redistribute this, and we can set up an
15 equilibrium between oxygen in the plasma and oxygen
16 in the air, in the head space just above it. So
17 the idea is to measure the percent oxygen in air
18 and use that as a surrogate marker of bacterial
19 growth.

20 Here the Pall eBDS measures oxygen in the
21 head space and compares it to some predetermined
22 threshold limit.

1 The old Pall BDS system you see on the
2 left, and basically what we have here is--we used
3 to entrain a sample of the platelet concentrate
4 through a filter, and the purpose of the filter was

5 to reduce the burden of platelets. These are all
6 leukoreduced blood products. So we reduced the
7 burden of platelets because respiring platelets
8 will consume oxygen. And if they consume oxygen,
9 then that would contribute to the likelihood of

10 developing a false positive.

11 So we take the platelets out, allow the
12 bacteria to pass. Unfortunately, the bacteria
13 transmission was not 100 percent. Sometimes it
14 would be as low as 15 percent, sometimes as high as

15 80 percent. On average it was about 50 percent.
16 And if you have a low bio-burden of bacteria to
17 begin with, you can see how, by utilizing this
18 filter, you would actually trap some of the
19 bacteria and maybe not get as good a sensitivity as

20 you would like or your thresholded section would be
21 higher than you would like. So we actually in the
22 enhanced version removed that filter.

1 We replaced the function of the filter
2 with another additive in the sample pouch. This is
3 an agent which actually causes the aggregation of
4 platelets and minimizes their respiration. And so

5 we actually remove that confounding variable in
6 just a different way.

7 What you'll also see is that there's a
8 probe that actually gets insert into a little
9 septum up there, and the septum and the sample

10 entry occurs in the same column. And we found that
11 to be a problem, too, and so we replaced that by
12 putting the sample inlet and the probe sampling
13 port on opposite sides of the sample pouch.

14 These are data of low-level spiking

15 studies. What you see are ten organisms which
16 constitute 98 percent of all fatalities reported to
17 the FDA associated with contaminated platelet
18 products. What you also see are bins--bins of the
19 number of units that we tested, which were less

20 than 5 CFU per ml, between 6 and 15, 16 and 50, and
21 greater than 51. If I draw your attention to the
22 bottom of the column on the right, you can see that

1 the detection now--this is just spiking and then
2 retrieving a sample, incubating for 24 hours at 35
3 degrees, and measuring percent oxygen, demonstrates
4 96.6 percent of the samples were detected positive.

5 Now, this is not the way the product is
6 instructed to be used. This was really just a
7 reflection of the robustness of the eBDS system.
8 The way we recommend it to be used is to wait that
9 24-hour incubation period where, if you spike with
10 a low bio-burden, now you can see many of the
11 organisms have grown to higher levels. You can see
12 that there are a lot of numbers now in the column
13 that are greater than 51, whereas before, you saw
14 no values greater than 51. So you could see that
15 extra 24 hours allows for a lot of opportunity for
16 sample growth, bacterial growth, and now the
17 detection sensitivity, if you will, under this
18 condition is 100 percent.

19 MR. GIROLAMO: So with respect to our
20 summary of limitations to the BDS. All are
21 addressed by the enhanced BDS. The original
22 product had a limitation with respect to

1 sensitivity, or threshold detection. We removed
2 the filter--the platelet-removing filter--and we
3 actually increased our sensitivity or limit of
4 detection.

5 The ease of use issue related to the probe
6 and aspirating plasma into the probe, which caused
7 the machine some concerns--we actually placed a
8 hydrophobic membrane at the sample port, which
9 allows you to now withdraw a sample without taking
10 any fluid up. And so that problem's eliminated.

11 We had something called a "system 6.2
12 error." Basically this was just naive on our part,
13 never realizing that it would be possible that the
14 oxygen concentration would be zero percent in the
15 head space.

16 The software initially developed would not
17 understand what zero is and would give you a 6.2
18 error. So we corrected the software there, and
19 that's no longer a problem.

20 And, finally, the platelet volume loss was
21 initially 7 mls because of the use of the
22 filter--the platelet-removing filter--which

1 consumes a lot of volume. And by removing that and
2 inserting a duck-bill check-valve into the line, we
3 could strip back a lot of the platelet concentrate.
4 And so now our loss is minimal.

5 You can see each one of these is addressed
6 by what I've just suggested for you.

7 Now, I'd like to talk to the alternative
8 points; the issues related to test methods. And I
9 think by and large they all relate to glucose and
10 pH.

11 They can be measured either with
12 dipsticks--urine dipsticks--or they can be measured
13 with an analyzer--a pH meter for example, or a
14 glucose oxidase assay automated analyzer.

15 [Slide.]

16 There are data in the literature--which
17 you can see here in the study on the right--and I
18 won't bother going through the detail of this, but
19 just to say that--I'll refer you to the papers and
20 to these little salient feature highlights. And
21 what they show is that there's a lot of variability
22 in the results. And, generally speaking, you can

1 find bacteria which you will not be able to detect,
2 even out through five days.

3 I think the definitive study to date is
4 one that is not actually in press, but Mark assures
5 me that it will be soon, and that is a very
6 extensive study looking at pH and glucose with
7 dipsticks, also comparing it with swirling. And,
8 generally, what Mark finds, and I'm certainly
9 willing to have him correct me if I'm wrong--but
10 what he finds is that basically the results are
11 quite variable, depending on the organism.

12 [Slide.]

13 You can that bacillus sirius here, at 10

6

14 CFU on day two of storage, two of three were
15 positive with glucose, with a dipstick, but they
16 were not detected with pH or swirling.

17 In contrast, if you look at staph epi down
18 at the bottom, at 10

four of storage,

4 CFU on day

19 zero of three were detected with any
20 method--dipstick, pH, glucose analyzer, or
21 swirling.

22 So this, I think, addresses the issue to a

1 better extent than is currently available in the
2 literature. And this is not going to be the last
3 time we see data like this. We understand that
4 there at least centers that are doing side-by-side
5 comparisons with the alternative methods.

6 So, in conclusion, bacteria contamination
7 occurs with significant morbidity and mortality. I
8 think that's unquestionable. There are two QC
9 approved methods that are based upon longstanding
10 and well understood principles of standard culture
11 technology, and the preferential use of approved QC
12 bacteria detection methods is limited to
13 single-donor platelets because of the cost in
14 applying it to the random donor platelet
15 concentrate.

16 The reliance upon single-donor platelets
17 may present availability problems, although, quite
18 frankly, personally, I find this hard to understand
19 how that could come about when we even see
20 institutions today who transfuse blood products
21 that are outdated--by virtue of the fact that they
22 have nothing left.

1 So I don't think random donors will ever
2 really go away. I think there will be less
3 reliance upon them.

4 Cost issue for random-donor platelets can
5 drive health care to use inferior methods of
6 bacterial detection--and that is a fact. I mean,
7 we see that happening now. I know of at least two
8 institutions, personally, that are using either
9 dipsticks or pH meters to try to address this issue
10 with respect to bacterial contamination using
11 alternative methodology for random-donor platelet
12 concentrate.

13 And I believe that pool-and-store really
14 is a solution to this problem. And whatever BSAC
15 can do to reduce the latency for approval of
16 pool-and-store, and to get to the point where we
17 could increase the outdate to seven days, we'll
18 actually enhance, not only the availability, but
19 also safety.

20 Thank you.

21 CHAIRMAN SKINNER: Yes, Matt--Dr.
22 Kuehnert?

1 DR. KUEHNERT: I had a question on a
2 previous slide you had on the performance of the
3 new system. And it was about the organism that was
4 missed. I think it was--

5 MR. GIROLAMO: 24? This is zero time
6 data--pseudomonas aerogenosa?

7 DR. KUEHNERT: Yes.

8 MR. GIROLAMO: Yes.

9 DR. KUEHNERT: What concerned me that it
10 was pseudomonas. That's a bad one.

11 MR. GIROLAMO: Yes, it is a bad one.

12 DR. KUEHNERT: And it generally grows
13 pretty quickly. So I was trying to understand what
14 the problem was.

15 MR. GIROLAMO: Yes. Well, I inquired
16 about this data and found that the unit was
17 detected positive when we held it for 24 hours. So
18 it's not as if there weren't bacteria in
19 there--there were, and the bio-burden was pretty

20 low, at 6
ridiculously low, 15 CFU per mil, but not
21 at less than 5.

22 So the answer to your question is: we

1 don't know why this occurred.

2 DR. KUEHNERT: Was it a mucous-producing
3 organism? Was it something that got sticky or
4 something? Or you don't know?

5 MR. GIROLAMO: I can't find an explanation
6 for it.

7 DR. KUEHNERT: The other questions I had,
8 basically asking the same questions I asked the
9 BioMerieux representative, which is about a false
10 positive rate--

11 MR. GIROLAMO: Yes, it's less a tenth of a
12 percent. And I'll give you--the data that we're
13 relying on here is the recent publication of Gail
14 Rock, in which she did over 12,000 and found one
15 false positive. And we have one more, I believe,
16 from field experience, and it's about a similar
17 sample size, when you consider all of the product
18 in use. So we're saying it's less than .1. It's
19 probably closer to .01 percent.

20 DR. KUEHNERT: And the final question I
21 have is: you mentioned about the system being used
22 internationally?

1 MR. GIROLAMO: The system is being
2 validated for use in Europe. There are many
3 institutions that--you know, the European process is
4 a little bit different. Every time you go for a
5 new product in Europe, they have a long period of
6 time where they actually evaluate. And they
7 started the evaluation before we actually approved
8 product here. So they're continuing to evaluate,
9 and they've amassed a considerable amount of
10 experience, and that includes the National Blood
11 Service of the U.K.

12 DR. KUEHNERT: Are they comparing--or are
13 you aware of any comparison--of day five versus day
14 seven, in vivo?

15 MR. GIROLAMO: Gail Rock is the only study
16 where we saw day seven data. I know that the
17 National Blood Service is interested, but I'm just
18 not sure how far along they've gotten.

19 CHAIRMAN SKINNER: Other questions?

20 Dr. Holmberg?

21 DR. HOLMBERG: How do you get around the
22 aerobic and anaerobic files? You only have the one

1 pouch.

2 MR. GIROLAMO: Correct. We didn't
3 consider the anaerobic to be a clinically
4 significant issue. There was only one reported
5 fatality in the literature that we were aware of at
6 the time we started this, and that was for
7 propreanabacter acnes. And, as it turns out, quite
8 serendipitously, we had in our actual clinical use
9 of the product, two occasions where propreanabacter
10 acnes was actually detected with our system.

11 We wouldn't have predicted that. You
12 know, we don't think that it actually responds to
13 anaerobic organisms. But if we give it a little
14 bit of thought, there may be a scientific
15 explanation for that, or a theory that we could
16 propose.

17 CHAIRMAN SKINNER: I might ask the same
18 question Dr. Lopez asked of the previous
19 presentation: if you can give us an estimate of
20 the cost?

21 MR. GIROLAMO: Yes, it's \$20 per
22 platelet-detection system. So you're paying for

1 disposables here. So it's \$20 for the disposable.
2 All of the equipment is provided at no additional
3 charge, so basically the disposable cost includes
4 amortization of the equipment over the life of the
5 instrument, which we are held responsible for. So,
6 if there's any issue with respect to equipment, it
7 just gets replaced or repaired at our expense.

8 CHAIRMAN SKINNER: Dr. Holmberg?

9 DR. HOLMBERG: So that raises the
10 question: you are approved for leukoreduced whole
11 blood.

12 MR. GIROLAMO: We're approved for
13 leukoreduced apheresis product, or random-donor
14 platelet concentrate--not for whole blood. Whole
15 blood-derived.

16 DR. HOLMBERG: Whole blood-derived
17 platelets.

18 MR. GIROLAMO: Yes.

19 DR. HOLMBERG: So if there was a pool of
20 five, then we're talking \$100.

21 MR. GIROLAMO: That's correct. That's
22 what makes it impractical.

1 See, actually, from a practical
2 experience, we want to facilitate its entry into
3 the market, we've actually lowered the price. It
4 really isn't that--I mean, it could go as low as
5 \$8, for example, but even that is too high.

6 So we're trying to encourage the
7 utilization of random-donor platelets, for reasons
8 unrelated to bacterial detection. They happen to
9 relate to our other side of the business, which is
10 leukoreduction for random donors. So--you see what
11 I mean? We'd be shooting ourselves in the foot if
12 we didn't make it available, but we don't make it
13 available to the extent where it becomes actually a
14 reasonable cost. Eight dollars for a random donor
15 translates to, 8, 16, 24--\$48 for the pooled
16 product, if it's a six-unit pool.

17 CHAIRMAN SKINNER: Other questions?

18 [No response.]

19 At this point, then the committee will
20 take a break. We will return at 3:30, and we'll
21 hear from the blood centers and the hospitals on
22 their experience.

1 [Off the record.]

2 CHAIRMAN SKINNER: If the committee can
3 come back together, I'd like to begin this
4 afternoon's presentations--or the last part of this
5 afternoon's presentations.

6 BLOOD CENTER EXPERIENCE

7 And next up, we'll have an opportunity to
8 hear--the next item on the agenda is the
9 opportunity to hear about the blood centers'
10 experience. And we're going to have the
11 opportunity to hear from two blood centers.

12 First we're going to hear from the Florida
13 blood centers, and then we're going to hear from
14 the Puget Sound blood centers. And Mr. Timothy
15 Malone will present first.

16 MR. MALONE: Thank you, and I'd like to
17 thank the committee for the opportunity to offer
18 our experiences from the blood center perspective.

19 And as many blood bankers, we're dusting
20 off our micro books and discovering that whole
21 field of microbiology once again.

22 Not to be redundant of what's already been

1 discussed--we know about the accreditation
2 requirements and where they all stem, both the AABB
3 standards and the CAP inspection checklist.

4 Just a brief commercial for the blood
5 service. It's located in the Tampa Bay area on the
6 west coast of Florida, not to be confused with
7 Florida Blood Centers in Orlando. But Florida
8 Blood Services collects and processes approximately
9 170,000 whole blood collections, 11,000 platelet
10 pheresis donations yielding 17,000 components; over
11 70,000 whole blood-derived platelets were
12 distributed in 2003, and a total of half a million
13 blood components are manufactured annually.

14 We service the entire Tampa Bay Metro
15 Area, which includes 34 hospitals. We are also
16 considered by most to be the fourth largest
17 transfusion service in the U.S., in that we perform
18 compatibility in the major transfusion centers in
19 the Tampa Bay area.

20 Bacterial contamination, we've
21 learned--not to be repetitive, again--but it's the
22 most recognized residual transfusion-transmitted

1 disease risk. Bacteria in platelets is defined in
2 the literature: detected in 1 in 1,000, causes
3 reactions in 1 in 10,000, sepsis in 1 in 100,000,
4 and death somewhere in the neighborhood of 1 in
5 200,000.

6 We've approached this from several angles:
7 limiting opportunities for contamination; detection
8 of contamination; and the Holy Grail, we hope to be
9 one day, pathogen reduction or inactivation.

10 Under the topic of limiting contamination,
11 we've gone forward with good aseptic technique in
12 phlebotomy. We, ourselves, have changed from a 2
13 percent providone iodine solution to now using
14 tincture of iodine and an alcohol scrub,
15 Chlorhexadine, although recognized as a very
16 efficient means of scrubbing is rather cost
17 prohibitive. And, of course, the diversion of the
18 initial blood flow, all limiting contamination.

19 Bacterial contamination by culture
20 methods--we've also learned earlier today--the Pall
21 BDS system, measured by oxygen consumption; the
22 BactiAlert BioMerieux system by CO₂

1 generation--both having the highest sensitivity of
2 any of the detection methods noted; however, they
3 do require a lag phase, and are recognized to be
4 the most costly.

5 Other methods, including staining,
6 sensitivity in the neighborhood of 1 million CFUs
7 per mil; Gram stain, Wright stain and acridine
8 orange; the dry chemistry dipsticks--that is FDA
9 cleared for urine analysis, and not necessarily for
10 platelet rich plasma, but hence we are using them
11 as a surrogate marker, measuring glucose and pH to
12 determine potential for bacterial contamination.
13 And that magical swirling, which we all learned
14 that, earlier as well, as a CAP allowance, but not
15 AABB, and the sensitivity of 10 million CFU per
16 mil.

17 Dr. LeParc visited both--Dr. LeParc,
18 Herman LeParc is our chief medical officer. He
19 visited both Dr. Bricker's lab and Dr. AuBuchon's
20 lab back in late 2002; came back to me and charged
21 me with the process of providing bacterial
22 detection--initially of our platelet pheresis

1 products. At the time we were in litigation over a
2 death of a patient that had received contaminated
3 platelets, and he wanted us to be the first in the
4 country, in the large scale, to be up on this
5 process.

6 Our validation strategy included
7 performance qualification, that of detection in
8 using seeding known organisms, negative controls,
9 positive controls, determining the CFUs per unit;
10 considering the dilution by the plasma volume of
11 the component, of course, and relative
12 concentrations of 10 to 100 CFUs per unit.

13 Lag time variables we looked at from the
14 time of seeding to inoculation. We looked at,
15 pretty carefully, the volume of inoculant and its
16 effect. Repeatability was an issue, and also, of
17 course, personnel training and competency, as part
18 of our performance qualification.

19 Operational qualifications included the
20 use of the BactiAlert BioMirieux system, the
21 computer platform, that of offering positive ID,
22 the sample integrity from the storage bag to the

1 culture medium; the elapsed time; the temperature
2 of the incubator itself; and the inherent messages
3 that come across the Bacti 3-D system, which
4 include error codes and the relative print
5 functions and problem logs that are generated from
6 the Bact 3-D system.

7 We also looked further into our operation
8 system entry, and we decided at some point to
9 create that as a label control mechanism for
10 allowing platelets to be QC released.

11 This is some of our initial data--not
12 30,000 samples, but in terms of using simply
13 platelet phereses, inoculated with--and these
14 got--I apologize, this slide got cropped off--but
15 this column represents staph aureus; this column
16 represents Candida albicans; and this column
17 represents E. coli.

18 And we looked at the potential of reducing
19 the time to detection overall by reducing initially
20 the lag phase. We thought perhaps--or rather than
21 a 24-hour lag, we would look at the comparison of
22 time to detection in the bottle without a lag

1 phase, as compared to a 24-hour lag phase. And you
2 can see the time to detection was readily sooner in
3 the column that maintained the 24-hour lag phase.

4 And the comparative data: this is staph
5 aureus at 10 to 20 CFU per mil.

6 [Slide.]

7 We also looked at the variable of volume,
8 looking at from a small inoculant of 2 mls up to 16
9 mls, and looked at the time to detection over the
10 volume range in triplicate. And I believe that was
11 also a staph aureus at the same relatively low
12 concentration.

13 We do not think then, from this data, that
14 it was clinically significant to have an accurate
15 measure of volume, so we estimate the volume in a
16 sample pouch to be 8 to 10 mls. And we're using
17 just an aerobic bottle.

18 [Slide.] This is the same data represented
19 from the reflectants graphs that come off the Bacti
20 3-D system, showing the upswing of reflectants and
21 the positivity at the hours associated. Again,
22 staph aureus at low inoculum volumes--or

1 concentrations. And I believe it was a high of
2 9.8 hours, to a low of 9.1 hours.

3 So our operations ;then included--or does
4 include--and this is for our platelet pheresis
5 products--we isolate and sample for daily QC cell
6 counts, our platelet count and our WBC count by
7 flow cytometry, both done at day zero. We then
8 incubate the platelet pheresis product in its
9 aliquotted, or allocated storage

10 containers--whether it be a split product, single,
11 double or triple--for that 24-hour lag phase.

12 We combine the units then, again, and test
13 the parent bag, if you will, by sterilely
14 connecting a sample pouch, filling that sample
15 pouch to an approximation of 8 to 10 mls and
16 removing that pouch and isolating the platelet
17 pheresis while the bottle now incubates for a
18 minimum of 12 hours.

19 So the inoculated culture bottle, we
20 obtain a 12-hour negative to date report that
21 allows us to enter what we know is BD1 into the
22 operation's computer system that allows for then

1 labeling and release.

2 We do monitor that culture bottle through
3 day five, and we again enter then a five-day
4 result into our operation's computer system.

5 This is a pouch that we designed, through
6 the help of Charter Medical, Winston-Salem, North
7 Carolina, where we are able then to sterilely
8 connect the platelet pheresis to one lead, and it
9 has a Y-connector and simply a safety needle that
10 allows us to apply the inoculum into the blood
11 culture bottle aseptically.

12 [Slide.]

13 This is our sterile connecting device, and
14 the process that allows us simply to fill the pouch
15 to the base of the label for the volume of
16 inoculant. And then we move the pouches into a
17 laminar flow hood--a biological safety
18 cabinet--where we then proceed to inoculate the
19 bottles.

20 [Slide.]

21 Similar information--or similar pictorial
22 views of the action in the laminar flow hood. We

1 do clean the bottle with a sterile alcohol prep
2 pad, and then we take a second sterile alcohol prep
3 pad, place it over the bottle top, and inoculate
4 through that pad into the bottle to inoculate the
5 culture.

6 Interestingly, when we started the
7 process, unbeknownst to us, there are such things
8 as non-sterile alcohol pads. And you'll see in our
9 data, shortly, that several of our initial
10 contaminants were thought to be from the actual
11 cotton fiber of a non-sterile alcohol pad.

12 [Slide.]

13 More logging information. We batched the
14 pouches after they were sterilely connected. We
15 obtain a second blood unit identifier, if you will,
16 and attach it to the sample pouch which then
17 transfers to the log that identifies then, or
18 matches the log blood unit ID to the bottle ID.
19 The bottle IDs are unique, however you can have
20 multiple unit numbers associated with the same
21 bottle ID.

22 [Slide.]

1 What have been our implementation issues?
2 Particularly that of handling positive results?

3 We, early on, decided Dr. LeParc would
4 notify the physician if the unit was released.

5 We've determined, then, subsequently to that
6 notification, whether it is a false or a true
7 positive by replicate growth study.

8 We notify the donor--we've set up a donor
9 deferral status, and we flag the donor in an
10 initial positive result, and if they've come up
11 positive two times, they are permanently deferred.
12 And we've had--one of those such folks that had
13 donated over 280 times, and it was very obvious by
14 the looks of their antecubital faucets that they
15 were harboring bacteria that we just couldn't clean
16 off. So if we do have two positives--a two-strike
17 donor, if you will--that donor is deferred.

18 We set up mechanisms to ID the organism
19 and provide sensitivity to the physician, and we do
20 that through a local microbiology lab in town. And
21 we've yet to--although we have a goal to develop or
22 have a computer interface to the BactiAlert system.

1 So what happens when we have a positive
2 result on a released unit?

3 [Slide.]

4 Well, in our scenario we have three such
5 scenarios, in that we have contract
6 transfusion-service hospitals, which about one-half
7 of our issued blood components go to our own
8 transfusion services. And in that case, we have
9 computer information that indicates the patient's
10 name and physician, and we do manage those as panic
11 values to the ordering or transfusing physician.

12 At those hospitals where we consign the
13 blood to, we notify the lab. And on those
14 occasions where we've been able to export or
15 resource-share a unit of platelets, we notify the
16 receiving blood center.

17 [Slide.]

18 Then we move forward with a root-cause
19 analysis, evaluating the phlebotomy staff--both the
20 phlebotomy staff and the donor. We perform
21 root-cause analysis on both our true positives and
22 our false positives. And, as I said, the false

1 postivies are determined by a lack of replicate
2 growth.

3 Within the phlebotomy staff, we review
4 their records and we do an audit on their
5 technique, particularly their scrub technique.

6 On the donor side, we've brought the donor
7 in, and Dr. LeParc obtains a thorough medical
8 history, thorough physical exam, and we've cultured
9 skin, urine and blood through the process.

10 [Slide.]

11 These are our stats to date, looking at
12 one full yeaser now of bacterial detection on our
13 platelet pheresis products--just under 11,000 total
14 donations tested. We've had 11 positives, which is
15 right on the recognized norm of .1 percent.

16 Of those, we've categorized the positives
17 to be false positives and that of contaminants; in
18 other words, they did not show true to be--in a
19 replicate growth study, they did not regrow.

20 We had five of those, and the bugs
21 associated; we had four bacillus species in
22 positive at the times noted here. I should also

1 note that once we switched over to a sterile
2 alcohol pad, those bacillus species stopped
3 appearing.

4 We did have one Kleb pneumo that we could
5 not repeat on replicate growth; whether it was an
6 auto correction by the plasma, or whether or not it
7 was a contaminant, it's hard to say.

8 But we did have six true positives; for
9 staph epi, one E. coli, and one staph aureus.

10 Interesting here, the staph aureus was a
11 donor that identified and, upon physical exam,
12 informed us that she had a long-term osteomyelitis
13 of her ankle that was supposedly cured about a year
14 prior, however we grew the same bug that was
15 growing in her ankle.

16 [Slide.]

17 Challenges that remained at this point,
18 then, included now an inventory control of a
19 three-day shelf-life product. And we're looking
20 forward to seven day expiration, pending Bacti
21 data.

22 We did begin bringing in our expired

1 platelet pheresis back into the center--those from
2 our transfusion services--and we've begun
3 re-inoculating those components, and we've not yet
4 found--and I think we're just about 200 products
5 re-inoculated--we have not yet found a unit that
6 grew through day 11 that did not grow through day
7 five.

8 We're grappling with the idea of hospital
9 inventories, and whether or not to credit or not to
10 credit returns. Currently, we do allow our
11 hospitals to return products to us with 24 hours
12 remaining on them, and they get full credit--which
13 is a continuous revolving door with a three-day
14 shelf-life.

15 And that issue of what we're going to do
16 with whole blood-derived platelets, and of course
17 to work all the bugs out--pun intended.

18 [Slide.]

19 Status of bacterial detection. Now,
20 currently, there exists a dichotomy of safety, with
21 two different safety profiles existing for platelet
22 doses. In our shop we issue 70 percent of our

1 platelet doses as platelet pheresis that are tested
2 by blood culture, and 30 percent of our whole
3 blood---or our platelet doses are issued as whole
4 blood-derived platelets, and these are currently
5 being tested by surrogate markers for bacteria;
6 that of pH and glucose. But there definitely
7 exists a dichotomy in safety, and I'll show you
8 that data.

9 Again, going back to Charter Medical, we
10 have developed this platelet sampling device for a
11 means of applying our investment in our Bacti
12 detection system for our whole blood-derived
13 platelets. And this system initially was designed
14 to allow us to sterilely connect six whole
15 blood-derived platelets here, and to pull an
16 aliquot, then, from each of the six samples; or
17 each of the six platelet units.

18 Then our plan was to seal off the syringe;
19 take the syringe and the safety needle to apply to
20 the blood culture bottle, remove this portion, and
21 then we even had a pooling back associated with the
22 set that we would--we thought the transfusion

1 services then would utilize to pool the platelets
2 and they'd remain in this pool of six without
3 physically actually pooling them, but by pooling a
4 sample.

5 Dr. LeParc came back from one of these
6 meetings, and Dr. Epstein informed him that, yeah,
7 he thought it was a good idea, but we'd have to do
8 about 30,000 to show that that was efficacious.

9 [Laughter.]

10 And he said not in his lifetime.

11 So we had another discussion about what we
12 would move forward with.

13 I guess part of the concern, too, is that
14 serial connecting device, and the potential for
15 bacteria to flow upstream into your platelet and
16 not be detected downstream in your syringe.

17 [Slide.]

18 So, we moved forward with a new concept,
19 in that we would remain, or leave, a nine-inch
20 segment of tubing at the end of the platelet
21 production process. We take that nine-inch segment
22 of tubing and we strip it back and mix it well at

1 the end of production and at the end of the
2 one-hour rest period.

3 And then we've found that putting these
4 segment materials, if you will, into sets of six,
5 and incubating the lag phase at 37 degrees, we can
6 greatly enhance the detection in the time in the
7 bottle.

8 [Slide.]

9 So what we're doing the next day, then,
10 after the 24-hour lag phase at 37 degrees, is
11 sterilely connecting our six samples--our six
12 segment samples that we've logged previously with a
13 pool ID--the pool ID is now associated with the
14 syringe. And once connected, we go into the hood
15 and we clean the distal end of that segment. We
16 apply, then, the Baxter Hemotype Segment Device to
17 the end to allow for a vent, and with that, we pull
18 that volume of six random platelet, or six whole
19 blood-derived platelets into a syringe for testing
20 into one bottle.

21 Initially, we were concerned with whether
22 or not the segment would sustain bacterial growth,

1 and we looked at a lag phase, comparing 12 hours at
2 37, to 24 hours at 37 and 24 degrees--trying to
3 vary that lag phase once again. And,
4 interestingly, we saw in every exmample here that
5 the time to detection with a lag phase of 37
6 degrees was much less than that of a room
7 temperature lag.

8 [Slide.]

9 We looked at a small number of organisms;
10 a lot of staph epi, E. coli. And then, in terms of
11 determining the sensitivity, or trying to evaluate
12 the sensitivity of a pool of six, we inoculated
13 one--or we seeded one of the six with a staph epi,
14 at a relatively inoculant. Again, 24-hour lag at
15 37 degrees, and we found positive detection at 7.4
16 hours.

17 It's also interesting ot note that the
18 total tiime elapsed--the 18 plus the 12, and the 24
19 plus the 6 or 7, is the same total time elapsed.

20 But we feel it's important to shorten the time to
21 detection in the bottle, because that is the time
22 where the platelet may be released prior to

1 detection.

2 [Slide.]

3 This is an example, then, further, of our
4 ongoing validation. Our goal is to get 100 pools
5 of six blood unit numbers, or 100 pools of six
6 whole blood-derived platelets. We set them up in
7 those pools of six, as I've described, but we also
8 set them up in singlet to look at the relative
9 sensitivity between a singlet application and that
10 of a pool of six.

11 We interpreted that data, and we compared
12 then, at day five, a pH and a glucose from a urine
13 dipstick.

14 [Slide.]

15 And this is a summary of that data. We've
16 done 100 pools now; a total of 594 platelets--whole
17 blood-derived platelets. We had no growth in both
18 the pool result or in the singlet result. However,
19 looking at the surrogate markers--pH and
20 glucose--we had 6.1 percent fail a pH of less than
21 7. We had 25 percent fail a glucose of less than
22 250 g/dl. And using both of those criteria, we had

1 a failure of 5.6 percent of our whole blood-derived
2 platelets, using the surrogate markers.

3 [Slide.]

4 Further studies included, now, taking
5 seeded organisms, or seeded platelets in a pool of
6 six. We seeded one whole blood-derived platelet
7 within that pool of six at a relatively CFU, at 15
8 CFU per mil. Comparing, then, a 37 degree lag to a
9 24 lag--and you can see in every case, with staph
10 aureus, staph epidermis and E. coli, we were able
11 to reduce the time, in this case, by half; the time
12 to detection in the BioMerieux BactiAlert bottle.

13 And, again, we fell that's very important,
14 because that's the time frame when the platelet can
15 be released.

16 Interestingly, we looked at the pH and the
17 glucose at day five in the singlet application,
18 both--with all three organisms. We probably would
19 have noted these in our dipstick methods, however
20 the E. coli at a low inoculant maintained a pH of
21 7.5, and a glucose at 250 through day five.

22 [Slide.]

1 Our conclusions are that pH and glucose
2 levels as surrogate markers are not consistently
3 maintained in platelet storage day five, and we do
4 recognize that we're in a Baxter PL1240 plastic,
5 which is probably not the most breathable plastic
6 on the market, and we are in a 500 ml collection.
7 So that probably has a negative effect on pH and
8 glucose as well.

9 The correlation of surrogate markers to
10 actual bacterial contamination is poor. And our
11 time to detection is reduced by half, using a 37
12 degree lag phase.

13 [Slide.]

14 What are the costs associated with all
15 blood component sales? And there's been some
16 concerns, I understand from the committee, about
17 removing whole blood-derived platelets from the
18 blood product inventory menu, and what that would
19 do to the cost of the other blood components.

20 In our model--in our cost model, much of
21 the cost of collections, if you will, or of
22 providing whole blood and red blood cells, and

1 platelet pheresis, are loaded onto those that we
2 can determine to be a one-to-one ratio between what
3 we issue and what we collect. So the cost for
4 recruitment, collections and processing and testing
5 is associated all with the cost of the red blood
6 cells, and/or the platelet pheresis.

7 However, if we're looking at the
8 components--platelets, plasma and cryo--it's a
9 variable ratio to whole blood collected, and it's a
10 by-product cost. So the incremental bag cost: the
11 cost of quality control, the cost of production and
12 labor and inventory and distribution is different
13 than it is for the cost load that's associated with
14 red blood cells and platelet pheresis.

15 [Slide.]

16 What has been our testing cost to date?
17 Well we have roughly \$175,000 invested in hardware,
18 both in the BactiAlert system and that of the
19 laminar flow hood, and a dedicated sterile
20 connecting device, and a dedicated sealer. We
21 anticipate to do 80,000 tests over what we feel is
22 the life of this equipment, which is a three-year

1 depreciation schedule, and that comes out to
2 roughly 75 cents a unit.

3 The labor associated with both the testing
4 of the platelet pheresis and the platelets in

5 groups of six--keeping in mind that the cost is
6 associated with the pool of six, or six samples
7 spread over the cost of that process--ranging from
8 a high of \$2.99 for the pheresis, to \$1.05 for the
9 platelets.

10 Consumables are listed here, as well.

11 Our direct costs, then total--for that of
12 the platelet pheresis--just under \$14, and that of
13 each unit of platelet in a group of six: \$6.70 a
14 unit.

15 [Slide.]

16 But the real cost needs to include the
17 cost of increased expiration. And we looked at the
18 same months in 2002 as in 2003, post development of
19 bacterial detection in our platelet pheresis, and

20 our outdate rate went from just over 5-1/2 percent
21 to almost 13 percent. And that certainly is a big
22 cost issue, in terms of doing this process.

1 What's going to happen to our platelets?

2 Well, we're seeing, now, roughly a 15 percent
3 outdate rate. If we add another 15 percent to
4 that, we could envision a 30 percent outdate rate

5 in our whole blood-derived platelets. So the need
6 for a variance to allow for seven-day storage is
7 very much in need.

8 [Slide.]

9 And the emergent technologies that we hop

10 to see in the future: the immunoassay in dry media
11 that was presented at the AABB last year; some idea
12 of spectrophotometric analysis, perhaps, that can
13 take the magic out of the swirl and shimmer.
14 Concentration in mass spectrometry is probably cost

15 prohibitive. I believe the Chiron GenProbe group
16 is working on a molecular probe to detect bacterial
17 wall DNA or RNA; and that Holy Grail of pathogen
18 reduction.

19 And I thank you for your time.

20 CHAIRMAN SKINNER: Thank you for your
21 presentation.

22 Committee questions?

1 [No response.]

2 It was very comprehensive. That was very
3 good. Thank you.

4 Dr. Holmberg?

5 DR. HOLMBERG: Do you ever import
6 platelets from other locations?

7 MR. MALONE: We important platelet
8 pheresis, not whole blood-derived platelets.

9 DR. HOLMBERG: And do you re-test those?

10 MR. MALONE: No, we do not. We are
11 assured now, certainly after March 1

st, that those

12 centers that we're importing from are doing some
13 form of bacterial detection in their manufacturing
14 process.

15 Prior to March 1

would only import

16 from those centers that had developed a bacterial
17 detection technology.

18 CHAIRMAN SKINNER: Colonel Sylvester.

19 COL. SYLVESTER: On the donor you said you

20 lost that had donated over 200 units, have you all

21 done any studies--was that skin bacteria, or was

22 it--

st, we

1 MR. MALONE: Yes, we were able to, I
2 believe, genotype the bacteria and found that the
3 same bacteria growing in the bottle was the same
4 thing that we could swab from the skin.

5 COL. SYLVESTER: And have you all done any
6 work with the diversion pouch to either--

7 MR. MALONE: No, we have not.

8 COL. SYLVESTER: --prove or disprove
9 whether or not a diversion pouch would eliminate
10 that risk?

11 MR. MALONE: No, we have not. And we were
12 fortunate not to be yet in the Baxter sample for
13 its diversion pouch. [Laughs.]

14 CHAIRMAN SKINNER: Yes, Dr. Sayers.

15 DR. SAYERS: What has Dr. LeParc's
16 experience been with the notification of physicians
17 of a positive product?

18 MR. MALONE: Well, the--of the 11 total
19 samples we've had positive in the pheresis pool,
20 one unit had been released and transfused. It was
21 one of the bacillus that turned out to be a false
22 positive. The patient was a transplant recipient

1 and on megadoses of antibiotics, and the physician
2 was not concerned.

3 CHAIRMAN SKINNER: Dr. Kuehnert.

4 DR. KUEHNERT: Well, first of all, I want
5 to comment your blood center for collecting all
6 these data. I think it's very important to look
7 at--try to get a sense of some sort of evaluation
8 here.

9 I had a couple of questions. First of
10 all, you mentioned that you're also looking at
11 seven-day culture, and you said something about how
12 you haven't had any positives. But I just wondered
13 if you could repeat that.

14 MR. MALONE: Yes. Within our own
15 transfusion services that we provide blood to--and
16 we have total control over those blood
17 components--we're bringing back those expired units
18 that expire there, and re-inoculating those bags at
19 day five and day--well, day six. And so those
20 cultures go out to day 11, and we've not yet had a
21 unit positive that was, obviously, not positive
22 through day five.

1 DR. KUEHNERT: And the other question I
2 had: so you speciate--when you get a positive, you
3 speciate--

4 MR. MALONE: Yes.

5 DR. KUEHNERT: --every organism

6 MR. MALONE: We take the bottle from the
7 system, and we send the bottle to a local
8 microbiology lab at one of our hospitals in town.
9 And they do a Gram stain, and we get the Gram stain
10 result back within two to three hours, so that we
11 have an idea whether or not there's actually
12 growth.

13 And once that has occurred, then we--and I
14 believe Dr. LeParc does notify the transfusing
15 physician of the potential for a contamination
16 based on the Gram stain. And then they go on to
17 identify the organism and do sensitivity as well.

18 DR. KUEHNERT: So this is all by phone,
19 sort of--

20 MR. MALONE: Well, yes--phone, and
21 eventually written report--yes.

22 DR. KUEHNERT: And as far as donor

1 notification, do you notify the donor for every
2 organism, or only for certain organisms?

3 MR. MALONE: Just those that are
4 determined to be true positives, but--yes, each of
5 those six true positives we've had, we've notified
6 the donors, and Dr. LeParc has conducted a medical
7 exam on each of those donors.

8 DR. KUEHNERT: And I wondered if you have
9 in your--it doesn't look like any of these
10 organisms have been of, you know--been reportable
11 to public health authorities. But do you have, in
12 your standard operating procedures, if it were a
13 reportable organism, to report it?

14 MR. MALONE: If the blood unit--if the
15 pheresis or blood product is transfused, yes. They
16 are reported.

17 DR. KUEHNERT: It's only if it's
18 transfused.

19 MR. MALONE: Yes.

20 DR. KUEHNERT: But as far as the donor--

21 MR. MALONE: No. No, we haven't.

22 CHAIRMAN SKINNER: And I'll ask what will

1 be the last question for this presentation.

2 You indicated you had one donor that had
3 showed up twice with a bacterial contamination, but
4 they'd given about 280 previous donations?

5 MR. MALONE: Yes.

6 CHAIRMAN SKINNER: Did you do any kind of
7 look-back on the recipients of those other units to
8 find out whether there was any transmission of
9 bacterial contamination?

10 MR. MALONE: No, we did not.

11 CHAIRMAN SKINNER: Okay.

12 Thank you very much for your presentation.

13 MR. MALONE: You're welcome.

14 CHAIRMAN SKINNER: At this point, now, we

15 will move on to hear from the Puget Sound Blood
16 Center, and Dr. Richard Counts will be presenting.

17 DR. COUNTS: Thank you.

18 We strongly agree with those who say that
19 it's high time we did whatever we could to deal

20 with this problem of bacterial contamination of
21 platelets stored at room temperature, and I'm
22 please to share our experience. So far, we do have

1 some concerns, as you'll see, particularly with
2 some of the difficulties with inventory of
3 platelets that are kind of curious. And also, with
4 the non-specific character, and lack of sensitivity
5 of some of the methods, particularly for screening
6 platelets derived from whole blood.

7 [Slide.]

8 The Puget Sound Blood Center serves
9 approximately 70 hospitals in 14 counties in
10 western Washington. It has a large central
11 transfusion service--like Florida Blood
12 Services--that serves a little over 20 hospitals in
13 the Metropolitan Seattle Area. And for the
14 hospitals in the other counties, we send blood
15 components to those hospitals, and they have their
16 own in-hospital transfusion service.

17 In a year's time we transfuse about
18 170,000 units of red cells; in this last year, some
19 16,000 apheresis platelets, and just under 60,000
20 units of platelets derived from whole blood. It
21 serves large oncology and transplant services, and
22 so we've had, for many years, pretty substantial

1 need for platelets.

2 [Slide.]

3 The Blood Center rejoined the AABB after a
4 number of years of absence--just in time to--

5 [Laughter.]

6 --find this standard applying to us. And
7 so--[laughs]--we like challenges.

8 And so this has been our approach that
9 we've taken. We have, since June of 2003, been
10 culturing apheresis platelets, using the BactiAlert
11 system. We hold them for 24 hours prior to
12 sampling--as is usual, as you've been hearing.
13 They are released for transfusion when they're
14 required, once the sample inoculation has been
15 done. And units that are positive--if we get--if
16 the sample turns positive, then the unit is not
17 used. If it has been sent out, it's recalled, and
18 additional cultures are done to identify the
19 organism and to determine whether this is a true
20 positive.

21 There is an additional cost, and we've
22 made an additional charge for our apheresis

1 platelets. Our cost for the testing of apheresis
2 platelets is not quite as low as in Florida. It's
3 about--around \$20, a little more than \$20 a unit.

4 For whole blood platelets, we, like Dr.
5 AuBuchon's European colleagues, we laugh at the
6 rather crude methods available. However, our grin
7 is a risus sardonicus, because unlike the
8 Europeans, we had to choose one of those methods to
9 use. And what we've chosen to do is testing with
10 the dipstick method. We've been doing that since
11 March of this year--so a rather shorter experience.
12 It's just the BactiAlert system, which you've seen.

13 Our current experience with the BactiAlert
14 testing, about eight months is approximately 9,000
15 units tested negative. We had five true positives,
16 with three different organisms identified; 15 false
17 positives. Seven showed growth in the BactiAlert
18 bottle only, and were not confirmed on repeat
19 cultures of the unit of platelets

20 Eight "no organisms" were detected, and my
21 understanding is there was some situation where
22 there was evidently a change in the bottle--perhaps

1 the indicating device--that did not seem to be
2 associated with any actual growth.

3 So this was about 15 units out of 9,000
4 discarded for a positive test in the system.

5 However, that is not particularly large compared,
6 for example, to--there were almost 40, or twice as
7 many units lost in processing related to doing the
8 sampling. This sampling, incidentally, we do in a
9 laminar flow hood. Now, we expect that with more
10 experience, these losses will probably come down a
11 bit.

12 [Slide.]

13 So far as the testing of the whole blood
14 platelets with the dipstick, we did a study on--the
15 top couple of rows just shows some of the
16 experience we had with 50 units, looking--these are
17 averages of the 50 units, on days one through six.
18 And the average pH has stayed above 7.2, and they
19 tended to come down a bit. And the glucose average
20 level stayed pretty good.

21 There was quite a bit of variation,
22 however--this just compared with some data that we

1 were kindly provided with Torumal, which is
2 something similar.

3 [Slide.]

4 When we looked in detail to do some
5 validation on this method, the pH was always above
6 7, and the glucose always above--well, using that
7 criterion--above 250. Seven units out of the 50
8 failed about 14 percent rate. Those were all
9 culture-negative.

10 [Slide.]

11 We did inoculate several units--spiked
12 them to see whether we could detect them. We
13 detected E. coli without much trouble, but failed,
14 with this method, to detect staph epidermidis
15 inoculated, in one case, at fairly high levels; 100
16 CFU per mil.

17 [Slide.]

18 The criteria that we have adopted for
19 screening at this point are a pH above 6 and a
20 measurable glucose--detectable glucose with the
21 dipsticks.

22 Now, you probably can't see this very

1 well. The dipstick procedure for doing it is
2 rather complicated. There is a visual inspection,
3 but it's not necessarily just swirling. We look in
4 general for everything.

5 The platelet--the tubing is stripped, the
6 bags are mixed three times. They seal off a
7 segment, and then take a sample with a segment
8 splicer, and put that on the dipstick and read it
9 at, I believe, it's 30 seconds for the glucose and
10 then, right after that, for the pH.

11 We also repeat this procedure if we've had
12 units returned from any of our regional hospitals,
13 where they may be using this technique in their
14 transfusion service--although I might say that most
15 of the hospital transfusion services have preferred
16 to finesse the whole business by getting, insofar
17 as they can, order apheresis platelets, because of
18 the much greater convenience. We do the culturing
19 there, and then they avoid this whole procedure.

20 And for some of the smaller hospitals, they
21 considered that would be more of a burden than the
22 extra cost of apheresis platelets.

1 And we haven't had an awful lot of
2 experience, as I said, with this so far. It's
3 about-it's a couple of weeks here.

4 [Slide.]

5 And we are testing these whole blood
6 platelets at our two large transfusion services.
7 We actually have two other smaller transfusion
8 service labs that, because of the delays in
9 preparing emergency pools of platelets for
10 emergency orders, we also have attempted, as far as
11 we can, to use just apheresis platelets on those
12 smaller laboratories. They have much less demand
13 for platelets than the large ones which serve the
14 big academic medical centers, the trauma centers
15 and that sort of thing.

16 So we've had about 970--roughly 1,000
17 units--tested in that period of time. Five failed
18 for some of the reasons noted. None were
19 culture-positive. And one unit was returned from a
20 regional hospital, and when we tested it, it
21 passed. It still wasn't used, but--

22 [Slide.]

1 There has been a substantial impact--we've
2 had a significant loss of--there's a bit of a loss
3 of discarded units directly. The loss from
4 positive tests is quite small. We've had increased
5 outdates, which I'll take about here in just a
6 moment. There's, of course, the increased cost to
7 the hospitals and to the blood center.

8 I think there has been an increase in the
9 age of platelets transfused, obviously,
10 particularly with apheresis platelets, since we're
11 holding them for 24 hours before we transfuse them,
12 in order to allow the sampling. And it takes
13 longer for emergency orders, particularly of whole
14 blood platelets.

15 [Slide.]

16 We have, at this point, made an estimate
17 of costs, comparing some of the costs for the
18 apheresis platelets and the--"random apheresis
19 platelets," RAPS, is what that stands for--and
20 platelets derived from whole blood. And this would
21 be an annual estimate. So far, from our--it looks
22 like the main cost is still testing, but a close

1 second is the additional--our outdate rate has gone
2 from about 7 to about 10-1/2 percent on apheresis
3 platelets. And there's a certain QC loss, too.

4 So we anticipate that this would be an
5 increase in cost of about close to a million
6 dollars over the year for our rather large service,
7 and about 10 percent of the annual revenue from
8 these two components. So that will--an increase in
9 cost.

10 [Slide.]

11 Let me talk a little bit about the effects
12 on platelet inventory, because we have seen some
13 pattern of shortages. This is a center that does
14 not have blood shortages, by and large, and has not
15 even frequently had platelet shortages--at least
16 for the past six or eight years.

17 This shows an increased use of apheresis
18 platelets. Now, this was going on at a relatively
19 moderate level--but a continuous increase--prior to
20 the start of culture and testing. We have the
21 sense that it's--the pace has picked up a little
22 bit.

1 And, conversely, the use of whole blood
2 platelets has gone down.

3 There are couple of reasons for that, and
4 that's not entirely related to the testing. One of
5 our largest users of platelets, a marrow transplant
6 center, has been doing more and more of the mini
7 transplants, and has found ways to use less toxic
8 conditioning regimens. And so they have succeeded
9 in decreasing the number of platelets used--or
10 necessary to get somebody through a stem-cell
11 transplant, which is a good thing. But it has
12 meant that--there have been several reasons for our
13 decrease in platelets, and that particular hospital
14 used, for many years, primarily platelets derived
15 from whole blood. They're now using more apheresis
16 platelets, also.

17 [Slide.]

18 This shows some of the effects on the
19 outdates. Of course the outdates vary a bit,
20 although, as I mentioned, if we compare the eight
21 months from June of 2003 to February of 2004 with
22 the eight months of the previous year, when we

1 weren't doing testing, the outdate rate is up to
2 about 10-1/2 percent, and it was about 7.2 percent
3 for the previous year. And that's a significant
4 change.

5 [Slide.]

6 There's probably been a bit of an increase
7 in outdates of whole blood platelets, too. Partly,
8 this is because when we've had shortages of
9 apheresis platelets and demand for that, we can't
10 produce many more on short notice because it's hard
11 to get additional donors--which I'll mention in a
12 minute. But we can produce more platelets from
13 whole blood on short notice, because we can easily
14 ramp that up. And so, in doing that, I think we've
15 done that some times, some weeks, and then have
16 found that there wasn't a sustained demand for
17 that. And so it's just complicated inventory
18 management.

19 [Slide.]

20 And these are--the dot bars are those
21 issued, and this is over a period of about the last
22 year--and again shows an increase in those issued.

1 And the yellow bars at the bottom are outdates, and
2 they've both gone up.

3 [Slide.]

4 And, with whole blood platelets, similar
5 to the earlier things.

6 [Slide.]

7 Now, there's an interesting pattern. In
8 2003--in February of 2003--we see a pattern of--the
9 blue bars--or at least whatever color they are; the
10 top ones--are our inventory of platelets in our
11 system, and the yellow ones are the platelets
12 issues, by day of the week, starting with Sunday.

13 And you can see there's a dip in the
14 inventories in the middle of the week. There's a
15 pretty consistent use of platelets during the wee,
16 with Sunday being a bit lower than the others. But
17 the others are fairly consistent. Although we have
18 usually managed, during that period of time, so
19 that we didn't really get problems with shortages.

20 [Slide.]

21 This is an accentuation of that problem.
22 This is February of 2004--this year--since we've

1 been testing. And you can see that seems to have
2 been made a bit worse. The use of apheresis
3 platelets has gone up a bit, from an average of
4 about 42 to 45 a day, to a little over 60 a day.

5 Sunday is still low, and then the top--the taller
6 bars are the inventory. And you can see, on
7 Wednesdays--Tuesdays and Wednesdays, we get very
8 close.

9 Now, what that implies, obviously, is that
10 our collections don't follow the same pattern as
11 the use. And so what you might be saying at this
12 point is: "Stop whining. Just collect more
13 donors--apheresis platelets--on Thursday, Friday
14 and Saturday," and that would take care of it.

15 One of the reasons that we're so much
16 shorter on Wednesdays, of course, is that we don't
17 have the extra day of storage time to keep us--tide
18 us over the weekend.

19 And eventually we will do that. We've
20 increased our apheresis platelet collections about
21 15 percent in the last year. But it isn't that
22 easy to get people in on, particularly, Fridays and

1 Saturdays, and even on Mondays sometimes, to take
2 the extra time to donate apheresis platelets.
3 Curiously enough, we don't have much trouble on
4 Tuesday, Wednesday and Thursday getting a lot of
5 donors, which is why we end up with a high
6 inventory on Sundays, when we don't need it--so
7 that the storage time is a problem.

8 And so when we think about changes that
9 are needed, there are a couple of defects we feel,
10 with the present methods that are associated, for
11 example, with culturing apheresis platelets, one
12 is, of course, the time it takes for culture; the
13 time it takes for the incubation. And this is a
14 good method. Of course, we also hope that if
15 platelets are going to grow, they'll grow faster in
16 the bottle than they will in the unit of platelets.
17 But the biggest problem at the moment is the loss
18 of the use of the platelets for another day at
19 least.

20 For the whole blood platelets, we think
21 that the systems that are presently available and
22 feasible are pretty costly for a non-specific

1 system that's relatively insensitive. And we also
2 have the concern that it is tending--for some of
3 these logistical reasons that I mentioned--to
4 strongly discourage further the use of platelets
5 from whole blood, which--now, there are people who
6 think, well, that's not a bad thing; apheresis
7 platelets are good platelets, and there should be
8 no real need for whole blood platelets.

9 Well, actually, we don't think that. We,
10 in our system--as you could see--at the moment have
11 a problem supplying the platelets needed for our
12 system without using whole blood platelets. In
13 addition, as has been discussed, we have, by
14 getting platelets from whole blood, we not only
15 make use of a resource that's available and that
16 functions well, but also allows some of the fixed
17 costs of recruitment, collection and that sort of
18 thing, in our case, to be spread over more than one
19 component.

20 So that if we have to completely the
21 abandon the use of whole blood platelets, the
22 patient's costs of platelets will go up because our

1 costs of providing apheresis platelets are somewhat
2 higher than the cost of providing platelets derived
3 from whole blood. And, in addition, the cost of
4 red cells and other components, in our system at
5 least, will go up as well.

6 And, finally, we think that this would
7 lead to a terrible loss of the donors' gifts of
8 platelets, which we would simply have to throw
9 away, and not make use of a resource that ought to
10 be utilized, particularly at a time when we keep
11 hearing--nationally--of shortages of all of these
12 components.

13 So, what is to be done?

14 [Slide.]

15 Our suggestions are pretty similar to the
16 others that have been made today: work out some
17 scheme--and we hope that the FDA will be able to
18 successfully come up with some criteria for
19 licensing pooled whole blood platelets, and for
20 doing the studies that would allow that data to be
21 collected; be able to pool whole blood platelets
22 and increase the shelf life--seven days, nine days,

1 10 days, whatever can be done would help a great
2 deal on all of these things.

3 And, in addition, I think, obviously we're
4 very concerned that better tests still need to be
5 developed.

6 Thank you

7 CHAIRMAN SKINNER: Thank you for your
8 presentation.

9 Questions from the committee?

10 DR. LINDEN: Thank you very much for the
11 very helpful presentation.

12 At your center, how long do the other
13 infectious disease tests--the serology, nucleic
14 tests--take? And can you tell us exactly how long
15 it is to get an answer for the bacterial detection
16 for the apheresis platelets, and therefore how much
17 time, exactly, this adds?

18 DR. COUNTS: How much time--ahh.

19 Actually, the other tests take about 12 to
20 14 hours to do, but some of the units we're testing
21 in a way that is actually going to take almost 24
22 hours to do those tests, too.

1 So, to hold the platelets to do the
2 culturing, the additional time takes anywhere
3 from--I would say not very long--four to six hours
4 up to, perhaps, 12 hours.

5 It's a good point: there are other
6 reasons to delay the availability.

7 DR. LINDEN: Oh--I'm sorry--so it adds 12
8 hours? Is that--

9 DR. COUNTS: Probably, on the average.

10 DR. KUEHNERT: I just had questions along
11 the lines of what I've asked before.

12 You're identifying all your organisms
13 after there's an indication that there's a positive
14 indicator on BactiAlert. And that's done at your
15 center? Or you send it out? Or--

16 DR. COUNTS: It's done at the University
17 of Washington microbiology lab.

18 DR. KUEHNERT: So then you have the report
19 sent back to you--

20 DR. COUNTS: Right.

21 DR. KUEHNERT: And if there's a need for
22 recipient notification, you have--

1 DR. COUNTS: Right.

2 DR. KUEHNERT: --a reporting procedure in
3 place for that?

4 DR. COUNTS: Yes.

5 DR. KUEHNERT: How do you handle the--

6 DR. COUNTS: I think we have had one--of
7 the five positives, I think we have had one where
8 it became positive after it was sent out, and we
9 contacted--our medical director contacts the
10 physician. And in that particular case, there was
11 no evidence that the patient had any problem having
12 received those.

13 The others, I believe we caught before any
14 of them were released.

15 DR. KUEHNERT: And what about donor
16 notification?

17 DR. COUNTS: We do try to get the donor
18 back and see if we can find a cause for the
19 contamination.

20 DR. KUEHNERT: I--umm--

21 DR. COUNTS: And I can't tell you right
22 now what the details of that--of what we found in

1 each case. But, I mean, we've got that
2 information. I just don't have it with me.

3 CHAIRMAN SKINNER: Dr. Holmberg?

4 DR. HOLMBERG: I'll ask you the same
5 question I asked previously.

6 Do you import or export any of your
7 platelets--apheresis or whole blood-derived?

8 DR. COUNTS: Not to speak of.

9 We--ahh--have not imported any significant amount,
10 and we really haven't exported many either.

11 DR. HOLMBERG: If you exported, would
12 you--how do you inform whoever is receiving it that
13 it's been bacterial tested?

14 DR. COUNTS: Umm--well, that's an
15 interesting question, because the--only a few
16 places we have sent any to, I think they know that
17 we're doing that, because--but we would either tell
18 them or they would ask, I presume. But we haven't
19 had a systematic way to doing that.

20 CHAIRMAN SKINNER: Dr. Penner?

21 MR. PENNER: I'd like to get those extra
22 platelets you've got.

1 [Laughter.]
2 DR. COUNTS: Well, we had an
3 interesting--there's an interesting reason why we
4 don't export--or haven't, until--in that we
5 licensed our apheresis platelets, and then, in a
6 triumph of long-range planning, as soon as we got
7 them licensed, we changed the machine that we were
8 using.

9 And so it's taken us another--

10 [Laughter.]
11 --while to get the license up to date.
12 And so--and that's just happened. So now we're
13 potentially available.

14 MR. PENNER: Rich, you've got a huge
15 transplant operation going on in your shop there.

16 DR. COUNTS: Yeah.

17 MR. PENNER: What percentage of platelets
18 are really going in that direction?

19 DR. COUNTS: Well, I think at one time it
20 was probably about 50 to 60 percent. But, as I
21 say, I believe that's decreased somewhat in recent
22 years because they have managed to find ways to do

1 the transplants with needing less platelet support.

2 Ten years ago, they needed an average
3 of--and these were platelets from whole
4 blood--about 130 donations of platelets to get
5 somebody through a transplant. Now they're doing
6 quite a few of them with maybe one or two doses of
7 platelets. So that's been a substantial decrease.

8 So I suspect it's probably a third or less
9 now, compared to--even though the volume of
10 patients is large.

11 CHAIRMAN SKINNER: Dr. Sayers?

12 DR. SAYERS: Thanks. Just a few
13 comments--and the one has to do with mention of
14 donor notification that was brought up a minute or
15 two ago.

16 Donation is becoming increasingly
17 perilous. And it's not perilous because of the
18 risk of the procedure, but because of the quality
19 of the information that individuals are given,
20 which quite often flies in the face of their own
21 sense of well-being, good health.

22 Take this as an example. We're struggling

1 now with what to tell the individual whose
2 platelets--whole blood-derived platelets--were
3 found at a hospital to have failed pH or swirling.

4 You know, that individual is not going to
5 take kindly the information that his or her
6 platelets don't swirl--

7 [Laughter.]

8 --and we don't have answers to that yet,
9 but we do have the responsibility to let donors
10 know what happens to their donation, and whether
11 it's transfused or not.

12 And the other comment has to do with: I'd
13 really like to endorse what Dr. Counts said about
14 the value of whole blood-derived platelets. This
15 product has been undervalued for a long period of
16 time. And when I look at our experience in
17 Dallas-Fort Worth, we would not be able to meet the
18 community's requirements if the community was to
19 decide to go 100 percent in the apheresis platelet
20 direction.

21 No amount of aggressive recruiting on our
22 part is going to ensure that the community's

1 transfusion-dependent patients who require
2 platelets are going to be able to get them from
3 apheresis donors. So I just wanted to endorse what
4 Dr. Counts had to say about the whole blood--the
5 essential whole blood-derived product.

6 CHAIRMAN SKINNER: Other questions from
7 the committee?

8 [No response.]

9 Thank you very much for your presentation.

10 [Applause.]

11 HOSPITAL EXPERIENCE

12 CHAIRMAN SKINNER: The last section that
13 we're going to discuss today is we're going to hear
14 from the hospitals about their experience. And the
15 first presenter in this section will be Dr. Robert
16 J. Bowman, with the University of Minnesota Medical
17 School.

18 MR. BOWMAN: Thank you for the opportunity
19 to present our perspective to the committee.

20 I'm going to give you our experience with
21 bacterial testing of platelet concentrates,
22 particularly the impact on our operations; and some

1 about the economics of this.

2 There have been a number of questions
3 about this today, and I'm sensitive to the fact
4 that I sort of feel like I'm at the end of

5 pipeline--sort of literally and financially. I
6 mean, we do pay for all of these things, and we do
7 pay for all of the testing that's done at the blood
8 center.

9 But I can't start a talk like this without
10 talking about leadership first. This is not done
11 alone, and these are the people who I need to
12 recognize.

13 [Slide.]

14 You can't see the lady's picture there,
15 but Nancy Ward is our technical supervisor, and
16 implemented the testing that I'm going to tell you
17 about. And the other people supported me as well.
18 When I go back to tell them what I've done, I will
19 show them this slide, and I hope they will
20 appreciate that.

21 [Slide.]

22 I also want to give you a quick overview

1 of what Fairview University Medical Center is.
2 It's formerly University of Minnesota Hospitals.
3 We were acquired by the Fairview System some years
4 ago. But it's the same institution.

5 It's about nearly 1,000 staffed beds;
6 about 36,000 admissions each year; 23 or 24
7 thousand surgical cases, with a substantial number
8 of adult organ transplants, and pediatric
9 transplants; also, probably 225 or so blood or
10 marrow transplants in the year 2002.

11 [Slide.]

12 To give you a feel for the transfusion
13 activity, we transfuse leukoreduced red cells about
14 18,500--nearly 20,000 a year, roughly. Platelet
15 equivalents--now, this is apheresis times five,
16 plus whole blood platelets times one--about 54,000
17 equivalents are transfused each year, and they are
18 all leukoreduced--including the whole blood
19 platelets. Those are each individually
20 filtered--about 11,000 frozen plasma, and 42,000
21 cryo.

22 [Slide.]

1 Now, another perspective I want to give
2 you is--this shows platelet usage strategy at
3 Fairview University Medical Center over a number of
4 years. And--is there a pointer? Well, I don't see
5 a pointer.

6 You can see at the end of the chart, there
7 are three curves shown there. The dark blue is the
8 total platelet equivalents transfused since 1990.
9 The magenta--or whatever color that is--shows--that
10 shows apheresis platelet equivalents.

11 Oh, thank you, Roger.

12 That shows apheresis platelet equivalents
13 back in 1990. And there were about--you can see, I
14 don't know, 45,000 or so platelet equivalents that
15 were transfused--apheresis products. And then the
16 yellow is whole blood platelets.

17 A conscious and thoughtful decision was
18 made back in about 1992--12, 14 years ago now, to
19 specifically favor whole blood platelets, or
20 platelets derived from whole blood, over apheresis
21 platelets. The judgement was made that they are
22 certainly equivalent functionally, but also from a

1 risk point of view, it was a reasonable trade-off
2 for the cost-benefit. And that was driven largely
3 by financial concerns. Okay?

4 So that decision was made back then. And
5 that decision has essentially stuck. You can see
6 the apheresis platelets came way down, the whole
7 blood platelets came way up--and stayed there.

8 Now, you can see apheresis platelets have
9 increased more recently. That's at our suppliers'
10 option. That's not at our request. So when those
11 are substituted for whole blood platelets--for
12 whatever reason--we buy them at the whole blood
13 platelets price. All right? So that's at our
14 suppliers' option.

15 So we have a propensity to choose whole
16 blood platelets.

17 I also want to tell you that we're not
18 immune to observing transfusions reactions due to
19 bacteria contaminated blood components.

20 [Slide.]

21 And this shows the fatalities--we had one
22 back in--and I think this is a fairly complete

1 list. My colleague has compiled this list, and
2 he's got a pretty good memory for this sort of
3 thing. So these are identified as reactions.

4 We had two fatalities: one in '89--that
5 was a red cell, and was due to *Yersinia*
6 *enterocolitica*; the other was '99, a platelet pool,
7 and was due to *Serratia*.

8 And then are a series of non-fatal
9 reactions that are actually more recent, and that's
10 kind of--I don't know, I can't give you a solid
11 reason for that, but I suspect it is because we
12 essentially began transfusing leukoreduced
13 platelets--all products were leukoreduced at about
14 by 2000. And I think that previously, reactions
15 that might be secondary to white cell contamination
16 of platelet concentrates, for example, now don't
17 happen as often. So we may be picking these up.
18 But, again, that's not a--I don't feel that's a
19 robust answer. That's my best guess.

20 [Slide.]

21 Well, when this new standard was
22 published, a number of challenges--and probably

1 more than this. We needed to determine what test
2 we should use, and we cared about how much it would
3 cost. And we cared about whether it would change
4 our practice; for example, were we going to have to
5 go to all apheresis platelets versus whole blood
6 platelets? And there were probably a whole bunch
7 of other issues as well that I just didn't list.

8 Let me give you an idea of the timeline
9 that we had.

10 [Slide.]

11 Back in July of '03, we began to define
12 our approach. You might say that we knew about it
13 before that. Well, we did, but then we got serious
14 about it and said we need to face this. By August,
15 we were looking at initial investigations. We gave
16 a heads up to our management, telling them money
17 was going to be needed. We were looking at
18 demonstrations of the various technologies that we
19 could use.

20 By September of '03, we had a specific
21 outline. We were pretty clear what we were--or how
22 we were going to make our decision, and that

1 included, you know, getting information;
2 "consultations"--that means calling up your friends
3 and saying, "What are you going to do?"

4 [Laughter.]

5 Technology assessment: we did do a
6 technology assessment. I'm not going to go through
7 a lot of that with you, but we made a little chart
8 and looked at dipsticks and culture and the Pall
9 system and that sort of thing, and we wanted to
10 look at the cost impact as well.

11 And then we specifically sent people to
12 AABB--it was a good excuse to send them; tell
13 management "You need to go because this is
14 important. There's an issue here." And I attended
15 the annual meeting with specific instructions to go
16 and get information and learn.

17 And let me give you an editorial comment:
18 that, given the standard, I think AABB has done a
19 good job of giving guidance around this issue. At
20 least that's my perspective, from the hospitals'
21 point of view.

22 By December we had a final decision on our

1 technology. We made up our validation plans and
2 implementation plans, and by March 1

st we

3 implemented the testing.

4 [Slide.]

5 Early on--very early on in this we decided
6 this was going to guide our approach to our
7 actions.

8 First, we said, we will meet the standard.
9 We're going to meet the standard. We're going to
10 meet it on time, and we're going to do it with an
11 accepted test.

12 Further, we're going to collaborate with
13 our supplier--that's Red Cross in St. Paul,
14 Minnesota. We want to know what they're doing, and
15 we want to talk with them and so we make sure that
16 we're working together with them. We are highly
17 dependent on them. They're our supplier.

18 Thirdly, we thought it was prudent to be a
19 late adopter. We did not want to lead the field in
20 this. We want to see what other people are doing,
21 and wait for someone to do something very clever,
22 and then we want to copy them. And that's a

1 specific strategy, and that remains our strategy.

2 [Laughter.]

3 Well, we thought that: look, this is a
4 new standard. There's new technology being

5 applied, and it's probably going to change. And we
6 don't want to invest too heavily to start--and
7 so--either, in our operations, or in equipment, or
8 space or anything else. So we felt, as well, that
9 we would try to minimize the operational and the
10 economic impact on us.

11 Well, there are implications to our
12 approach.

13 The first was: we intended to maintain
14 the current apheresis-whole blood platelet mix, and
15 that's mostly whole blood platelets--mostly whole
16 blood platelets.

17 We were going to keep testing simple, and
18 we were going to monitor the technology. And that
19 remains our plan.

20 We were going to observe our supplier's
21 efforts in testing, and their improvements in
22 collection technique--that sort of thing. We

1 wanted to follow that so we knew what was going on
2 there.

3 And then we also wanted to make sure we
4 monitored regulatory policy on pre-storage of
5 platelets derived from whole blood. That has
6 already been discussed here.

7 [Slide.]

8 Well, what was the economic impact to us?
9 Early on we needed to take a look at this.

10 Well, it was--how bad is it?--it's \$1.5
11 million over the expected expenses for us. And
12 I'll show you more detail about this. So \$1.5
13 million. Well, is that a lot? A little? I
14 understand this is a governmental meeting. It may
15 not seem like a lot. But it seemed like a lot to
16 us--

17 [Laughter.]

18 --because that was 42 percent more than we
19 were currently paying for platelet concentrates.

20 All right? 42 percent more.

21 So the question is: are there options?

22 So we did begin to look at some options, as well.

1 And we did a little economic impact study; a simple
2 little model study.

3 [Slide.]

4 And these are the assumptions underlying
5 it. We assume we're going to be using 1,000
6 apheresis platelet concentrates; about 47,000 whole
7 blood platelet concentrates--and they are all
8 leukoreduced. We, furthermore, have an
9 equivalency. We say five whole blood platelets are
10 equivalent to one apheresis platelet. And, the
11 estimated cost to test for bacteria was to be \$30
12 per test. That's the number we had heard, back at
13 that time. And I don't know if it's too far off--I
14 mean, at least for the culture techniques. If it's
15 a little bit high, that's okay. I mean, it doesn't
16 really have much--actually, it favors my
17 conclusions if it's high.

18 [Slide.]

19 So we did a model study. And what this
20 chart shows, this shows our current product mix, at
21 current prices--negotiated prices: 1,000
22 apheresis, 47,000; leukoreduced platelet

1 concentrates from whole blood-- 3.5 million,
2 roughly.

3 If I take the current product mix--take
4 all those and say, "Add bacterial tests at 30 bucks
5 a unit,"--\$30 a test--it's nearly \$5 million.

6 So you say, "Well, we can't hardly afford
7 that. So let's just convert to all apheresis, and
8 then just bacterial test those." So you have
9 to--you know, you if you do the equivalency, that's
10 10,5400 apheresis, plus the current negotiated
11 price, and that costs \$5 million.

12 Well, I mean, how can this thing be? It
13 could be, because the apheresis components--at
14 least to us--are a lot more expensive than whole
15 blood platelets. They just are. They're more
16 expensive.

17 So--we'd take a look at the current
18 product mix, and we talk about bacterial testing
19 just connected platelet pools and we can get it
20 down to \$4 million. And then--I'll talk about this
21 later--take the current product mix and do testing
22 on pools. I'll come back to this a little bit

1 later.

2 So, with that in mind, that sort of
3 validated the approach that we thought we ought to
4 take and, in fact, to continue to use whole blood
5 platelets, and to test them ourselves.

6 Now, we didn't select any of those
7 options, but we did choose to test whole blood
8 platelets ourselves. And we did that because we
9 knew then we wouldn't have to change the apheresis
10 versus whole blood platelet strategy; no change in
11 practice, no discussion about anything with medical
12 staff.

13 There was no change for our supplier. We
14 cared about that. There's an issue about adequacy
15 of supply. They probably couldn't have turned on a
16 dime and provided all the apheresis products. So
17 there would have to have been a transition anyway.

18 And then, finally, we thought: we can
19 test for a lot less than \$30 per test. So we also
20 decided at that point that we're going to use
21 bacterial-tested apheresis platelets from the
22 supplier. We aren't going to fool around with

1 that. We're not going to test them ourselves.
2 Why? We don't use many. It's easier than
3 establishing additional test criteria, and it
4 really only adds about \$30,000 per year; a thousand
5 apheresis products time \$30, \$30,000.
6 Straightforward.

7 [Slide.]

8 Again, test whole blood platelets
9 ourselves--the process we use is to do a glucose
10 test. We use a glucometer--it's a SureStep
11 Flexmeter--we don't use dipsticks. We chose not to
12 that--or the swirling. We didn't want to do the
13 dipsticks because we thought it had a component of
14 subjectivity to it. We get a number. It's good to
15 get a number.

16 We chose eight hours as a time period. We
17 don't test these on release. We test them--we try
18 to batch test them, and then they're good--we say
19 they're good for eight hours. And then you have to
20 test them again--okay?--if you're going to use
21 them. So that's the way we approach that.

22 The cutoff we chose as a result of our

1 validation studies was less than 520 mg/dl; units
2 falling below the screen are not used. If they
3 fall below the screen, they get reflex tested on
4 another machine, mainly because we want to get a
5 pH, because our supplier wants to know if the pH is
6 less than 6.2. So we get a glucose, a pH--and,
7 actually, a lactate, too. But if the pH falls
8 below 6.2, that we report to the supplier.

9 And then we Gram stain. Anything failing
10 the screen gets Gram stained and cultures, and any
11 positive is reported to the supplier.

12 The estimated cost for this testing, we
13 think, is about \$3 per test. That's the direct
14 cost, we think--about that. I haven't got a lot of
15 faith in that, but that's our best estimate right
16 now.

17 That means the total added expense--about
18 47,000 of these we do a year--is going to be about
19 \$150,000, but redundant testing adds another
20 \$60,000 or so; that is, some of those units are
21 tested multiple times.

22 [Slide.]

1 doing this--but we didn't change to all apheresis
2 platelets.

3 [Slide.]

4 Our operations on this can be improved.

5 This is not without some challenges. We didn't
6 appreciate the redundant testing that was driven by
7 that eight-hour period set for test validity. We
8 think we could probably lower our cutoffs, so we
9 want to look at that.

10 We did hire an additional FTE--and I know
11 this: that a lot of this is still manual. I mean,
12 we record these results on this glucose meter;
13 record them and then we enter them into a computer,
14 and the computer doesn't exactly prompt a person in
15 an effective way. So we can improve some things.
16 But we think we can improve things.

17 [Slide.]

18 What do we think is needed? Well, we need
19 better testing that includes Gram negative and
20 positive organisms. It needs to be automated, and
21 it needs to be blood-center friendly.

22 I personally would rather have the blood

1 center do this. I'd like to get the product from
2 them and have them say, "This is good for the
3 period of time that you need to store it for your
4 use." I'd like it to be that way. That would be
5 highly desirable.

6 The test needs to be sensitive, specific,
7 and a lot of other stuff that other people can
8 enumerate for you.

9 And then, I think also--quite
10 importantly--we need an objective assessment of
11 pooled, stored whole blood platelets. It's
12 important to look at that. We need to leukoreduce
13 those things as pools, and we need to do the
14 bacterial testing as pools on them.

15 Let me come back to some of the
16 pre-storage--the economic benefit.

17 [Slide.]

18 this is the current product mix that we
19 use--pretty close--for \$3.54 million a year.

20 That's what we would spend. If I could take and
21 back out the leukoreduction that's done on each
22 whole blood platelets--back it out--pool the whole

1 blood platelets five into a pool, leukoreduce and
2 bacteria-test those pools and sterile-connect them,
3 it would cost \$3.5 million--all right?

4 I can take, and I can have what I'm
5 getting now, and I can add bacterial testing to the
6 thing, and I can get it cheaper. So I can get a
7 better product cheaper. I think that's a
8 compelling argument for a careful evaluation of
9 this kind of a strategy. I think we have to do
10 this.

11 So, in my notion, it is clearly time to
12 consider pooling whole blood platelets before white
13 cell reduction and bacterial testing and storage.
14 Pool them, leukoreduce them, test them and store
15 them.

16 Thank you.

17 CHAIRMAN SKINNER: Thank you for your
18 presentation.

19 Questions from the committee? Dr.
20 Kuehnert.

21 DR. KUEHNERT: Thanks for the
22 presentation.

1 I wondered--just on your last message
2 there, with the \$3.5 million, which appeared to be
3 less than what you're doing now--

4 MR. BOWMAN: Yeah.

5 DR. KUEHNERT: --less costly than what
6 you're doing now--what type of testing are you
7 talking about for bacterial screening?

8 MR. BOWMAN: Included in that was a \$30
9 charge.

10 DR. KUEHNERT: Oh, it was the--okay.

11 MR. BOWMAN: Yeah. See, you can add it
12 in. I mean--and by the way, if the \$30 charge is a
13 little high, and someone thinks, "Well, you're a
14 little high on that, Bob," if you lower it, it gets
15 better for whole blood platelets--because, you see,
16 you apply it to so many components for us.

17 DR. KUEHNERT: And when you mentioned
18 that--the plan to, after your glucose and pH
19 screening you use a culture method, what culture
20 method do you use?

21 MR. BOWMAN: Oh, we send it to our micro
22 lab, and they use their culture method. They use

1 an aerobic--I think--I don't know if they're using
2 an anaerobic bottle as well. But they're using an
3 aerobic culture.

4 DR. KUEHNERT: Mm-hmm. And maybe I missed
5 this, but did you validate your methods in some
6 way?

7 MR. BOWMAN: Yes, we did. We spiked
8 platelet concentrates. We got whole blood platelet
9 concentrates and spiked them with bacteria. We
10 tried to spike them at about 50 colony-forming
11 units per mil, and then we followed pH and cultures
12 in time. That's the way we did that.

13 Yes, I did not show that--those details.

14 DR. KUEHNERT: I have one other question
15 about that--the validation.

16 I've noticed that--from the other
17 presentations--there seem to be some
18 variability--well, one, if I remember right--one
19 presenter had some lack of sensitivity with Gram
20 negatives; another one said they got them all. And
21 I wondered, with yours, did you have any issues
22 with Gram negative?

1 MR. BOWMAN: No--I tell you--our
2 validation--there were complications in our
3 validation--all right? Part of it is so that I
4 can't tell you exactly what our sensitivity is,
5 because once again, our micro lab decided to quite
6 doing quantitative cultures for us as we were
7 rolling along on this thing. So we knew there was
8 growth, but then we didn't know, exactly
9 quantitatively, what it was. And so we cannot tell
10 you--I cannot tell you what sensitivity level we're
11 at.

12 I'm presuming it's about at what people
13 say it is: 107 CFUs per mil. I'm assuming it's
14 something like that.

15 CHAIRMAN SKINNER: Other questions?

16 [No response.]

17 CHAIRMAN SKINNER: Thank you very much.

18 And our next presentation, we're going to
19 hear from the American Hospital Association, Ms.

20 Mary Beth Savary-Taylor is going to present. She's
21 the Vice President of the Executive Branch
22 Relations with the American Hospital Association.

1 MS. SAVARY-TAYLOR: Good afternoon. My
2 name is Mary Beth Savary-Taylor, and I'm AHA's Vice
3 President for Executive Branch Relations.

4 The AHA represents nearly 5,000 hospitals
5 and health systems throughout the country, and we
6 are pleased to be here this afternoon, and
7 appreciate the opportunity to comment before the
8 committee.

9 The AHA was asked to comment on how the
10 new AABB standard on detecting bacteria in platelet
11 components would affect the availability of both
12 apheresis and whole blood-derived platelets.
13 Because the standard is so new, we do not have
14 sufficient evidence from our members at this point
15 to determine, really, whether platelet shortages
16 exist.

17 The AHA does strongly support maintaining
18 a safe and adequate blood supply; and certainly
19 supports efforts to detect the presence of
20 bacterial contamination in platelets. We would be
21 concerned if this new standard, as currently
22 implemented, would result in a lack of platelets

1 for patients facing dire circumstances.

2 With this in mind, we will apprise the
3 Advisory Committee on blood safety and
4 availability, of platelet shortages by our members
5 as a result of this new standard.

6 In closing, our goal is to make sure that
7 we do have the safest blood supply possible. Of
8 course, with every new blood safety measure comes
9 addition costs that the health care system must
10 bear. These costs come amid various financial
11 pressures that are currently bearing down on
12 America's hospitals throughout our country.

13 We applaud the committee for its recent
14 recommendations on increased reimbursement for
15 blood and blood products, and ask that you continue
16 to push for adequate reimbursement for blood and
17 blood products through an infusion of additional
18 Federal funding--or, what Dr. Sandler repeated
19 again and again at the last committee meeting--new
20 money.

21 Thank you very much for your attention,
22 and I would be pleased to answer any questions.

1 Thank you

2 CHAIRMAN SKINNER: Thank you for your
3 comments.

4 Questions?

5 [No response.]

6 Thank you very much

7 MS. SAVARY-TAYLOR: Thank you very much.

8 CHAIRMAN SKINNER: Appreciate it.

9 This concludes the formal presentations

10 for the day. It does say on the agenda there's
11 time for committee discussion. I don't know if
12 there are specific topics the committee would like
13 to discuss at this point. What I would like to
14 suggest is, given the nature of how this topic

15 arose--the agenda for the committee--I think it's
16 quite clear that the Assistant Secretary of Health
17 is looking for some guidance or some feedback from
18 us, so it's not one of those typical meetings where
19 we may or may not come up with a recommendation in
20 the final analysis.

21 Typically, we write recommendations on the
22 afternoon of the second day, and we often start

1 with a black screen--which is somewhat of a painful
2 exercise, but sometimes is the only way that we can
3 actually do it. In other instances, there's
4 actually been individuals around the table who have
5 taken some ownership and helped pre-conceive some
6 ideas to at least get the discussion rolling.
7 Typically, that's occurred either through our chair
8 who, you know, is not in a position to participate,
9 or through, perhaps Dr. Epstein, who's a very good
10 wordsmith, who isn't with us this time.

11 So just anticipating those two voids, I
12 was wondering if it might make some sense for
13 people to begin some thought about taking some role
14 in helping to craft where the recommendations are
15 going to go, and whether it would make sense to
16 talk about that now--or to at least just leave it
17 out there for you to think about overnight.

18 But I think it is going to take some
19 initiative on a number of folks' parts to actually
20 identify the components that we want to address and
21 offer feedback on, and then--to the extent people
22 want to volunteer--to try to draft aspects of those

1 to at least begin the discussion, I think that
2 would be helpful.

3 On a separate note, I would say that a
4 number of individuals--because the first topic of
5 the day was addressing some of the CMS changes and
6 recommendations, and there are some individuals
7 that are actually working on some language for a
8 recommendation for that. So that aspect of it has
9 been addressed. There may be others that would be
10 raised.

11 So, with those preliminary comments, I
12 open it up for any thought on whether there's
13 anything we can do at this point to prepare for our
14 discussion tomorrow, without drawing conclusions
15 prematurely, before we hear the rest of the
16 presenters.

17 MR. WALSH: I'm just tempted to say, in a
18 very naive way, that we focus on going after the
19 barriers, and not challenge the standard. You know,
20 I mean I just think that the AABB has done a good
21 job, and they've implemented, and seem to have good
22 response by all parties. And we ought to just

1 focus on the barriers, and not waste a lot of--I
2 don't think--waste a lot of time, with all due
3 respect to anybody that disagrees, on challenging
4 what's been done.

5 CHAIRMAN SKINNER: Any other thoughts or
6 comments at this point?

7 MS. MIDTHUN: Yes, I think that it would
8 be helpful for all of us to think--again, I
9 wouldn't say so much "barriers," but perhaps a
10 different way of expressing it is to say: how can
11 we collect enough information, or ways to collect
12 enough information to really get additional
13 scientific data that would help fill in the gaps?

14 CHAIRMAN SKINNER: Others that have
15 anything that they want to share at this point?

16 DR. KUEHNERT: I just had a question about
17 tomorrow's presentations. There's another--is
18 there a set of presentations by ARC, ABC and AAB?
19 I wondered if there's going to be any discussion of
20 sort of the plans for collaboration, specifically,
21 by these organizations. Specifically, I know the
22 AAB TTD committee has had discussions about

1 collaboration.

2 I just wondered if that was going to be
3 discussed at all?

4 MS. LIPTOR: Again, this is factual, so I

5 feel that I can--

6 [Laughter.]

7 --say this. Tomorrow, Dr. Sazama is going
8 to talk about some of the initiatives we're
9 undertaking, particularly in terms of putting
10 together a task force to examine some of the
11 issues.

12 I will also tell you that we did put
13 together what has been described as a survey that
14 collected "unvalidated" [laughs] data, because it
15 necessarily--I mean, it just doesn't have
16 representative sampling. But we do have some
17 information back, and Dr. Sazama, again, will be
18 sharing that information, and we hope to use that
19 as the basis for the task force to go ahead and
20 look further at some of these issues; for example,
21 some of what we're seeing with the dipstick, the
22 glucose.

1 I think what was important, though, is at
2 least we have this on the table, and we have
3 something that we're all talking about concretely
4 right now.

5 CHAIRMAN SKINNER: Well, I think at that
6 point then, this really does conclude our
7 discussion. I just was wanting to warm you up that
8 someone's going to have to, you know, make the
9 first suggestions tomorrow in the recommendation
10 phase. So think about who's going to do that.

11 We reconvene tomorrow morning at 9:00 a.m.
12 in this room.

13 Thank you.

14 [Whereupon, at 5:08 p.m., the meeting was
15 adjourned, to reconvene on Thursday, April 8,
16 2004.]

17

- - -

